

SOCIAL SECURITY ADMINISTRATION
OCCUPATIONAL INFORMATION DEVELOPMENT
ADVISORY PANEL QUARTERLY MEETING

JUNE 10, 2009

HYATT REGENCY - McCORMICK PLACE

CHICAGO, ILLINOIS

* * * * *

DR. MARY BARROS-BAILEY

INTERIM CHAIR

S R C REPORTERS
(301)645-2677

1 M E M B E R S

2 MARY BARROS-BAILEY, Ph.D., INTERIM CHAIR

3 GUNNAR ANDERSSON, M.D.

4 ROBERT T. FRASER, M.D.

5 SHANAN GWALTNEY GIBSON, Ph.D.

6 THOMAS A. HARDY, J.D.

7 SYLVIA E. KARMAN

8 DEBORAH E. LECHNER

9 DAVID J. SCHRETLEN, M.D.

10 NANCY G. SHOR, J.D.

11 MARK A. WILSON, Ph.D.

12

13 C O N T E N T S

14 ITEM:

15 -----

16	Welcome, Overview of Agenda	4
17	National Association of Disability Examiners	
18	Georgina B. Huskey, President	14
19	National Council of Disability Determination Directors	
20	Trudy Lyon-Hart, Secretary	43
21	Clinical Inference in Assessment of MRFC Capacity	
22	David A. Schretlen, Ph.D.	80

S R C REPORTERS
(301)645-2677

1	C O N T E N T S (CON'T)	
2	ITEM:	
3	-----	
4	User Needs Subcommittee Chair Report	135
5	Physical Demands Subcommittee Chair Report	151
6	Public Comment	193
7	Mental/Cognitive Subcommittee Chair Report	225
8	Panel Discussion and Deliberation	247
9		
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 MS. TIDWELL-PETERS: My name is Debra
3 Tidwell-Peters. I'm the Designated Federal Officer
4 for the Occupational Information Development
5 Advisory Panel. And we will welcome you to the
6 third quarterly meeting. I'm going to turn the
7 meeting over to the interim chair, Dr. Mary
8 Barros-Bailey. Mary.

9 DR. BARROS-BAILEY: Good morning,
10 everybody. I would like to welcome you all. This
11 is our third meeting since February, and we are on
12 track now. We are at our third quarterly meeting in
13 two quarters. So welcome, everybody. It's hard to
14 believe that we have only been at this for three and
15 a half months, because there is a lot of work that's
16 been done. I want to, as we launch this meeting,
17 thank everybody for all your hard work.

18 I would also like to welcome Paul Krieglig
19 (phonetic), the Community Management Officer for
20 Social Security Administration who is sitting in the
21 back. And this Panel meeting we also would like to
22 welcome Associate Commissioner of Office Program

S R C REPORTERS
(301)645-2677

1 Development and Research, Richard Balkus. Richard
2 is going to be swearing in Dr. Gunnar Andersson to
3 the Panel this morning. So welcome.

4 MR. BALKUS: Good morning. At the
5 inaugural meeting the Commissioner swore in panel
6 members. Before the meeting ended I had the
7 privilege of swearing in Dr. Barros-Bailey, our
8 interim chair to the Advisory Panel. This morning I
9 have the honor to swear in Dr. Gunnar Andersson as
10 our 12th member of the Advisory Panel.

11 So Dr. Andersson, I would ask you to raise
12 your right hand and repeat after me.

13 (Whereupon, Dr. Gunnar Andersson was
14 sworn in.)

15 MR. BALKUS: Congratulations. Thank you.

16 DR. BARROS-BAILEY: Thank you, Richard.

17 Dr. Andersson, Gunnar, welcome, and we're
18 delighted to have you with us today. Would you just
19 take a few minutes and tell us about your background
20 and work. And just -- the mikes just need a slight
21 tap.

22 DR. ANDERSSON: I am an orthopedic

1 surgeon. I was trained in Sweden. I practice at
2 Rush University Medical Center here in Chicago,
3 Illinois. I specialize in spine. I have been
4 interested in the effect of work on the
5 musculoskeletal system for almost 40 years. I am
6 publishing widely on issues related to the influence
7 of lifting, twisting, other types of activities on
8 the spine; sitting, standing. Published textbooks
9 on occupational biomechanics.

10 DR. BARROS-BAILEY: Thank you. And as we
11 welcome a new member to the Panel, we would also
12 like to inform you and the audience of the
13 resignation of Mr. James Woods. We wish Jim all the
14 best in his future endeavors.

15 At this point we're going to go ahead and
16 review the agenda for the day. And in order to
17 assure completion of our September assignment, our
18 daunting task, this meeting of the Panel will
19 primarily provide us with the opportunity to discuss
20 and deliberate the beginning stages of each
21 subcommittee's recommendation. We will also hear
22 from two member organizations, and look forward to

1 their insight and advice that they can provide us.

2 The Chair of the Mental Cognitive
3 Subcommittee will be providing a presentation,
4 Drawing Inferences; and later this afternoon from
5 2:30 to 3:30 we're going to have the opportunity to
6 have public comment. Tomorrow we will continue with
7 the deliberation and planning of future meetings,
8 and then adjourn at noon. For those listening on
9 the phone, I'm going to announce breaks so that you
10 are aware of when we are not in session and when we
11 are planning on coming back.

12 Since April the Panel has been very, very
13 busy identifying information that will feed into the
14 work of each subcommittee; and ultimately, into our
15 final recommendations in September. And because
16 there are new members in the audience, and those
17 listening in might have been involved in previous
18 meetings, I would like to draw your attention to the
19 Panel's charter and to our mission. And we iterate
20 that it is to provide independent advice and
21 recommendations to Commissioner Astrue and the
22 Social Security Administration as it -- on its plans

1 and activities to replace the Dictionary of
2 Occupational Titles in the Social Security
3 Administration's disability determination process.

4 The recommendations that are due in
5 September will outline the type of occupational
6 information that the Social Security Administration
7 should collect, as well as recommendations on the
8 type of classification system that the Agency should
9 use.

10 The OIDAP is the second stage in terms of
11 a larger project that considers the use of
12 occupational information. And I will have the
13 project director, Sylvia Karman say a couple words
14 about that project. Sylvia.

15 MS. KARMAN: Good morning, everyone.

16 Thank you, Mary.

17 One of the things that we think we would
18 want to do, especially since new individuals join us
19 by phone and in the -- in the audience every time we
20 have a meeting, is to just go over a little bit
21 about what our projects entails. We have a number
22 of efforts underway of which the Panel is one large

1 feature of that, and we are, you know, looking at
2 replacing the use of the Dictionary of Occupational
3 Titles in our program and creating something that is
4 tailored for SSA's use and SSA's needs.

5 Right now we have something where we're
6 looking at short-term solutions as well as long
7 term. The Panel is -- is convened, has been
8 established to help the Agency assess how it should
9 be moving forward with regard to the long-term
10 efforts. So -- and they are largely research and
11 development oriented.

12 When the Agency gets to the point in the
13 process where we have begun to collect data and are
14 able to analyze it, then the Agency will be in a
15 position to make policy determinations about what
16 might need to change, if anything needs to change
17 with the policy. But the Panel will not be working
18 on policy issues per se, although, what the Panel
19 may be recommending and what our project team is
20 working on would certainly, we're hoping, be helpful
21 in informing possible policy development. But
22 anyway, it is just something we would like to make

1 clear to people that we not here to develop policy.

2 One of the things that I think is also
3 helpful is if I could call your attention to the
4 road map, which is behind section one for those of
5 you who have the materials. And first -- second red
6 divider is the road map for Developing an
7 Occupational Information System for Social Security
8 Administration. This is basically, you know, an
9 outline that shows what we're thinking the project
10 would entail in terms of activities, you know, how
11 the Panel is involved with the Agency. At what
12 point the Panel would be involved with the Agency.
13 What the Agency is doing, and who is doing what
14 when.

15 So, for example, if we look under part one
16 we will see right now we're developing a content
17 model. We're also developing a classification
18 system -- at least an initial one -- to get us
19 started.

20 So SSA will -- you know, has proposed
21 plans in working papers and presented them to the
22 Panel with regard to developing a content model,

1 with regard to developing an initial classification
2 system. And then, of course, as we all know, the
3 Panel will be developing recommendations, has been
4 working in several subcommittees to pull that
5 together. Then the staff will take that
6 information -- once the Panel has provided the
7 recommendations to the commissioner, SSA will then
8 determine how to move forward. And as we do that,
9 staff will be developing content model
10 classification system instruments as needed, and
11 then we will be coming back to the Panel, back
12 through SSA management.

13 So it's an iterative process whereby the
14 Panel will be involved at every step as well as, you
15 know, working closely with our internal SSA
16 workgroup. So it's just a way of people being able
17 to see where we're headed.

18 Also, we have provided an appendix to that
19 road map that shows all of the meetings that we have
20 held, and we're going to be also posting our
21 background papers, working papers all of that
22 material so that people can see what we have

1 provided to the Panel, and the materials that we've
2 developed to outline where we're going to be moving
3 next. So I think that's -- that's all I have for
4 now, Mary.

5 DR. BARROS-BAILEY: Thank you, Sylvia.

6 If you notice the road map has been
7 updated from the first couple times we've seen it.
8 It is a really important document that has helped us
9 put together a time line, as we will be discussing
10 later today.

11 So thank you, Sylvia, for your work on
12 that.

13 At this time -- well, at the April meeting
14 we kind of expanded the role of the User Needs
15 Subcommittee to include relations. And the Panel --
16 the creation of this subcommittee was to be able to
17 not only look at the needs, but also to reach out to
18 our stakeholders.

19 One of the first efforts has been to
20 identify organizations who can provide us with
21 useful input and information in terms of seasoned
22 guidance about the current use of the DOT, and to

1 assist in identification of information currently
2 used that is incomplete or non-existent, but it's
3 vital in terms of the day-to-day operations -- in
4 terms of the day to day operations of the Social
5 Security disabilities -- Disability Associations,
6 disability determination process.

7 So I would like to introduce Georgina
8 Huskey. She is the president of the National
9 Association of Disability Examiners who will be
10 presenting to us this morning. As a member of NADE
11 since 1992, Georgina has been involved with the Los
12 Angeles chapter in several capacities and worked in
13 many national committees, most notably being honored
14 as Chair of the Litigation Monitoring Committee and
15 the recipient of the Regional Supervisor's award in
16 2005.

17 She served as the Pacific Region President
18 for three years, and she was the Pacific Regional
19 Director for four years. During the 25 year tenure
20 with the California DDS, she has held many
21 positions, including that of Disability Evaluation
22 Analyst, Case Consultant, Professional Relations

1 Officer, Quality Assurance Analyst, and Hearing
2 Officer.

3 Georgina's material are in our binder.
4 They are behind the next red tab that we were
5 looking at under the -- behind the road map.
6 Welcome, Georgina.

7 MS. HUSKEY: Thank you very much.
8 Dr. Mary Barros-Bailey, interim Chair, distinguished
9 and esteemed Panel members of the Occupational
10 Information Development Advisory Panel. The
11 National Association of Disability Examiners, NADE,
12 appreciates this opportunity to submit comments
13 regarding any gaps that currently exist between the
14 occupation -- the occupational information available
15 in the DOT, and that which the organization feels is
16 necessary for the adjudication of claims in the SSA
17 disability programs.

18 NADE is a professional association whose
19 purpose is to promote the art and science of the
20 disability evaluation. The majority of our members
21 work in the state disability determination service
22 known as DDS's agencies, adjudicating claims for

1 Social Security and/or for Supplemental Security
2 Income, SSI, disability claims. As such, our
3 members constitute the front lines of disability
4 evaluation. However, our membership also includes
5 SSA central and regional office personnel,
6 attorneys, physicians, nonattorney, claimant
7 representatives, and claimant advocates.

8 It is the diversity of our membership,
9 combined with our extensive program knowledge and
10 hands on experience which enables NADE to offer a
11 perspective on disability issues that we believe is
12 both unique and reflective of programmatic realism.
13 NADE members throughout the DDS's, SSA offices, ODAR
14 offices, and throughout the private sector are
15 deeply concerned about the integrity and efficiency
16 of the Social Security and SSI disability programs.

17 Simply stated, we believe that those who
18 are entitled to disability benefits under the law
19 should receive them. And those that are not, should
20 not. Decisions on disability claims should be
21 reached in a timely, efficient, and equitable
22 manner. We believe that a current and comprehensive

1 vocational tool is essential to the correct
2 disability determination at the earliest possible
3 level of adjudication.

4 I also would like to begin, before I get
5 on to my presentation -- to let the Panel know that
6 the DDS examiner is responsible for everything in
7 the case from development to determination. We must
8 be able to make vocational analysis quickly and
9 accurately to keep up with the workloads. He/she
10 does not have hours to spend on each vocational
11 analysis. And if the DDS, as a vocational
12 specialist, he or she cannot analyze every single
13 case that involves a vocational issue.

14 Also, many DDS examiners are relatively
15 new on the job. The DOT replacement must be just as
16 user friendly for the new examiner as for the
17 vocational expert with years of specialized
18 experience.

19 Okay. Status of the current DOT and why
20 the DOT must be replaced. The current DOT was
21 designed by the Department of Labor for their
22 purposes not SSA. SSA adopted this tool for use in

1 disability adjudication. While not necessarily a
2 case of trying to fit a square peg into a round
3 hole, it often proved almost as difficult for the
4 disability examiners in their daily use. And that
5 was when the DOT was current. The last revision to
6 current DOT is nearly 20 years old.

7 How have jobs changed in the last 20
8 years? How many new jobs have appeared in the last
9 20 years? How many jobs have actually become
10 obsolete in the last 20 years?

11 Current DOT is very much obsolete.

12 Most DDS decisions are based on a medical
13 and vocational factors. Three million initial
14 claims are expected to be processed by the DDSs in
15 2009. A million reconsideration claims are expected
16 to be filed in 2009.

17 Approximately 75 percent of the 3 million
18 decisions -- or 3 million decisions of the 4 million
19 will consider vocational factors in the final
20 determination. The DDS goal is to make an accurate
21 decision in every case.

22 Relying on an obsolete DOT makes accuracy

1 problematic. It does not make it impossible, but it
2 does require more work for disability examiners and
3 DDS vocational specialists to address such issues as
4 to whether the claimant can return to past work or
5 whether the claimant possesses job skills
6 transferable to other work.

7 Automation has changed the way most
8 production jobs are performed, making many of these
9 job less skilled than before and requiring less
10 exertion than before. Many jobs, such as a fast
11 food restaurant cashier, require little thought.
12 Today's cash registers do not -- do not require the
13 clerk to enter prices or compute the change; the
14 machine does that for them. On the other hand,
15 these jobs are performed in high stress environments
16 not acknowledged by the current DOT.

17 Current issues or gaps involving
18 occupational information. Medical vocational
19 analysis of claims is challenging when there is
20 conflicting vocational information on the
21 SSA-3368 -- which is the application everybody
22 completes -- and the SSA-3369, which is the work

1 history. A claim could be erroneously denied if a
2 disability examiner uses misinformation listed in
3 Section three, information about your work, on the
4 3368.

5 When a 3369 is obtained, the detailed
6 information on that form often conflicts with a more
7 limited information provided in the 3368. A
8 potential resolution to this issue may reside in
9 deleting section three from the 3368 and relying
10 solely on the 3369, and/or contact with the
11 claimant.

12 An example of a gap that currently exists
13 between the occupational information in the DOT and
14 the SCO, which is the Selected Characteristics of
15 Occupation, include the lack of rating of such
16 activities as pushing and pulling, and definite
17 guidelines regarding the type of reaching jobs
18 required.

19 Jobs are coded in the SCO for reaching,
20 however, the claimant is limited -- if the claimant
21 is limited for only overhead reaching, unless that
22 activity is apparent in the DOT description, the

1 claimant must be contacted to determine what type of
2 reaching, including how frequently, with one or both
3 extremities, and for what job duties, et cetera.
4 This additional step may be eliminated in some cases
5 if the job coding was more definite.

6 Another gap in the coding of the jobs in
7 the DOT is that it is left to the judgment of the
8 examiner -- many of whom today are very
9 inexperienced, and all of whom are overworked -- to
10 realize a job could involve exposure to
11 non-exertional factors such as an environmental
12 condition that is coded as not present in the SCO.

13 For example is the job of a yarn winder.
14 This type of job can expose the worker to excessive
15 flying particles, such as lint, dust particles, et
16 cetera; but coding in the SCO under environmental
17 condition factor indicates atmospheric conditions are
18 not present.

19 When they devised the SCO, the Department
20 of Labor rated non-exertional factors only when the
21 activities are critical. For example, when there
22 is -- when their presence is more than routine in

1 amount, or when present to a considerable degree.
2 However, it would be inappropriate to deny the
3 claimant -- back to the job of the yarn winder -- if
4 he or she has severe respiratory impairment on the
5 basis of that atmospheric -- on the basis that
6 atmospheric conditions were coded in the SCO as not
7 present.

8 The same holds true for the claimant with a
9 severe respiratory impairment whose past work was
10 that of a cleaner, housekeeping or a cleaner,
11 hospital. Neither job is coded in the SCO as
12 involving exposure to atmospheric conditions. While
13 exposure to fumes, odors from industrial chemicals
14 used in the cleaning process may not be detrimental
15 to the unimpaired worker, an individual whose
16 respiratory ability is already compromised would be
17 at further risk if consistently exposed to such
18 irritants.

19 The category of hazards included under a
20 number of categories under environmental condition
21 factors, the most common of which appears to be
22 proximity to moving, mechanical parts, and other

1 environmental conditions is another non-exertional
2 factor that is coded as not present in many jobs that
3 would be hazardous to an impaired individual.

4 We think the requisite issue here is that
5 more definite coding of these non-exertional factors
6 would be beneficial in any future occupational
7 information system, especially when analyzing job
8 performance by impaired individuals.

9 Another issue regarding coding of
10 non-exertional factors would be to make the coding
11 consistent with the way the limitations are indicated
12 on the RFC, especially with regards to environmental
13 limitations. Does avoid concentrated exposure
14 indicate on the RFC -- indicated on the RFC equate to
15 the rating of occasional as coded on the SCO?

16 It has been the practice of most DDS --
17 DDS's to consider that if there is an environmental
18 limitation indicated on the RFC, no matter if it's to
19 avoid concentrated exposure, avoid even moderate
20 exposure, or avoid all exposure, and a job is coded
21 "all" in the -- "at all" in the SCO for that factor,
22 the job should be precluded, for even incidental

1 exposure could be detrimental to an impaired
2 individual.

3 Functions of the replacement DOT.
4 Searchable data that would allow disability examiners
5 to cross-match specific skills from claimant's
6 current job with other jobs involving that same skill
7 or skills.

8 A section for potential transferability to
9 lower occupational bases. DDS having informal
10 transferability for common occupations. It needs to
11 be user friendly. It needs to be a search engine for
12 keywords or phrases. Performance that does not
13 impede the speech, use of other software running
14 simultaneously.

15 And I want to address this. When we go
16 into the OccuBrowse, for instance; and the analyst is
17 in that function, the rest of the functions are --
18 you can't use them. So you know, we need something
19 that is user friendly with other things.

20 Okay. Occupational information. Addition
21 of common jobs found in prior work history. For
22 example, handyman, multiple trades, but not focused

1 specialty, no license. This handyman is not found in
2 the DOT, which is amazing. Traveling computer repair
3 person, such as the Geek squad workers at Best Buy.

4 The replacement DOT should separate
5 standing and walking. These are two different
6 physical attributes requiring different abilities by
7 the claimant. Use of major joints for repetitive
8 motion should be specified when necessary.

9 Computer based jobs, example, web designer,
10 internet service, et cetera.

11 DOT should be written in work terms
12 meaningful to disability examiners. The DOT work
13 history and the DDS residual functional capacity, or
14 the RFC and MRFC, should work in concert together.
15 Instead of a band playing together, we have an
16 arrangement that has often been described by
17 disability examiners as three pieces of music being
18 performed in three different tempos by musicians
19 playing on broken instruments and led by a deaf
20 conductor.

21 New DOT should specify stress levels of
22 each job performed under ordinary circumstances.

1 This is a critical factor in determining if the
2 claimants with mental impairments can return to prior
3 work activities or perform other jobs in the national
4 economy.

5 Replacement DOT beginning or alternatives.
6 The Job Browser by SkillTRAN available via the
7 intranet and SSA digital library. This tool already
8 allows disability examiners to research a job to
9 discover all the skilled competencies required to
10 perform the jobs. And I have three or four examples
11 listed here.

12 SSA can build on these tools to add the
13 additional factors. For example, expanded list of
14 exertional demands and SVP, or specific vocational
15 preparation, level of each job; searchable database
16 for matching skills, et cetera.

17 In the attachment I have the short version
18 of the four jobs that I listed, the claims
19 adjudicator, the nurse, general duty; the secretary,
20 and the cook and short ordered cook. And in my
21 attachments what I have done is I have listed the
22 long version, and then the SkillTRAN will list the

1 shorter, which is what is in my -- over here.

2 For instance, the claim adjudicators, here
3 is the general description. But the skills and
4 competencies is investigating. So if an analyst or
5 an examiner was suppose to punch in the skill into
6 this tool and maybe all kinds of jobs can come up.
7 It's very difficult in many instances to match that
8 specific job to the DOT or anything that we have. So
9 if we were to just type in the skills, maybe
10 something would come up that would be more readily
11 identifiable for the examiner.

12 Okay. So here is the long version, claims
13 adjudicator; and the short version says the skills
14 and competencies are investigating. That would be
15 obtaining and evaluating data about persons, places,
16 and incidents for purposes of -- such as solving
17 criminal cases; settling claims; estimating credit
18 risk; determining the qualification, integrity, and
19 loyalty of people; assessing eligibility for
20 social-service-assistance program; and ensuring
21 compliance with laws and regulation.

22 That person advises, enforces, inquires,

1 inspects, interrogates, interviews, questions, scans
2 and search. So there are some specific skills. And
3 as you can see in the short description, you know,
4 there is all kinds of jobs that fall into that
5 description that can be pulled up with skills.

6 Same thing for nurse, general duty. The
7 long description is in my attachment. The short, the
8 skills and competencies are in healthcare and
9 medical. They treat people and animals with physical
10 and mental problems. And they do bandaging, bathing,
11 diagnosing, disinfecting, examining, exercising,
12 injecting, inoculating, interviewing, investigating,
13 massaging, monitoring, prescribing quarantining,
14 rubbing, taking pulse, and treating.

15 The next one is the secretary with skill
16 competencies as verbal recording and record keeping.
17 What they -- what this individual usually do, their
18 skills is preparing, keeping, sorting, and
19 distributing records and communications, primarily
20 verbal in character; but including symbol devices to
21 communicate and systemize information and data.

22 Some of the things that they do is

1 addressing, checking, collating, counting, editing,
2 filing, listing, locating, mailing, marking, posting,
3 punching, reading, routing, searching, segregating,
4 selecting, stamping, taking dictation, taking
5 minutes, typing, verifying, and writing.

6 The last one that I have listed is the
7 cook, short order -- and this is the way it appears
8 in the SkillTRAN.

9 MR. HARDY: Can I interrupt you?

10 MS. HUSKEY: That's okay.

11 MR. HARDY: If it's okay with you. I know
12 you have a flow going.

13 MS. HUSKEY: No; no. No problem.

14 MR. HARDY: I am curious -- I'm looking at
15 the Job Browser skills example. What I struggle
16 with often is a definition of what a skill is. And
17 I'm looking at some of these examples, and looking
18 at, say, the secretary. Is stamping a letter a
19 skill? Is mailing a letter a skill? Or the cook,
20 short order, would you consider basting a skill?

21 So I'm stepping back, because I hear what
22 you are saying. If I understand the end user's

1 needs properly, you need to know what the skills
2 are.

3 MS. HUSKEY: Right.

4 MR. HARDY: Are those truly good examples
5 of skills? Or is there something you would assert
6 about those definitions?

7 MS. HUSKEY: Okay. The actual skills of a
8 secretary would be verbal recording and record
9 recording; but in their -- in their work activities
10 they would have to do all of this functions.

11 MR. HARDY: So those lower things, you
12 would not consider those a skills?

13 MS. HUSKEY: Right.

14 MR. HARDY: There would be a line between
15 preparing, sorting, and distributing; and then going
16 down to mailing, same thing.

17 MS. HUSKEY: Exactly; exactly.

18 MR. HARDY: So for you the skill is truly
19 up here in what's almost a description?

20 MS. HUSKEY: The skills are the
21 competencies of a supervisor, would be verbal
22 recording or record keeping. But in the way that

1 they perform that job, all of these other things
2 would be necessary, like the preparing, the
3 keeping -- and you know, keeping records, sorting,
4 and distributing.

5 You will see a lot of -- you know, a lot
6 of lower clerical jobs will also have some of these
7 other things, okay. But if -- a secretary would be
8 in the national economy as to perform those duties.

9 MR. HARDY: Okay. The duties.

10 MS. HUSKEY: Right. Right.

11 What the analyst would like to do is type
12 in a skill. When a claimant describes a job and we
13 say, okay, what was -- you know, what skills did you
14 have, and that's what they would -- what they would
15 tell us is what we would like to pop in, and then
16 see what comes up.

17 Okay. Secretary, of course, would be --
18 well, in some industry like the legal industry, this
19 would be a highly qualified individual. Secretaries
20 in other jobs may not be that, you know -- you know,
21 may not require all this -- you know, it would be
22 higher skills, lower skills. So it depends what

1 industry they come from, but if you see -- where is
2 my examples?

3 MR. HARDY: I guess I just want to make
4 sure I'm following you. Would like to be able to
5 type in something that says sorting, and
6 distributing records; and from that flow out any
7 other occupation that has that in there?

8 MS. HUSKEY: Exactly; exactly.

9 MR. HARDY: And you are not talking about
10 the things that build up or feed into that skill?

11 MS. HUSKEY: Right; exactly.

12 MR. HARDY: I want to make sure I have
13 that clear.

14 MS. HUSKEY: Exactly. If you see -- when
15 you type in secretary in the job SkillTRAN, what
16 pops up is automotive service cashier secretary,
17 insurance secretary, sales secretary, secretarial
18 stenography, secretary senior, secretary executive,
19 administrative assistant. So there is all kinds of
20 jobs. Then it lists all these things.

21 And then what the SkillTRAN browser does
22 is it summarizes the skills, and then the things

1 that they're asked to do under the secretary general
2 type thing. And some of the -- you know, some of
3 these secretaries that are listed up here may be
4 doing some of the things, but generally, that's what
5 it does.

6 MR. HARDY: Thank you. Sorry to
7 interrupt.

8 MS. HUSKEY: No; no. I understand. I
9 understand. This is actually my opinion, a really
10 neat little way of doing vocational analysis.

11 The problem comes in the fact that most of
12 the examiners in the DDSs as of today have been
13 trained in the DOT. And you know, when all these
14 other things are available and you go into -- and
15 you ask an examiner, well, what do you mainly use?
16 Oh, I use the DOT. Why? Because that's how I was
17 trained. So given that, you know, it becomes -- it
18 really -- the vocational part of the examiner's job
19 right now is a very huge job. Go ahead.

20 MR. HARDY: Yes. Extrapolate a little
21 bit. If you are using this -- typing in a skill to
22 get an occupation, it is basically what you want to

1 do. You want to type in keeps and sorts records;
2 and then up will come your listing of occupations.

3 MS. HUSKEY: Exactly.

4 MR. HARDY: Then from that, you want your
5 examiner to be able to go through and pick up the
6 past relevant work.

7 MS. HUSKEY: That best matches, exactly.

8 MR. HARDY: Okay. So you wouldn't be
9 using this for a skills transfer. It would be just
10 be for a search.

11 MS. HUSKEY: The SkillTRAN does not give
12 us a transfer, exactly. So you know, we do need --
13 this is when it comes to exactly what job is this
14 claimant trying to communicate to us, you know. And
15 that -- and it's really interesting, because they
16 may call themselves a secretary. When it comes
17 right down to it, you know, it really doesn't match
18 what is available to them. So then we have to
19 either call a claimant and figure out, okay, what
20 pay are we going to place you in.

21 If you are using the SkillTRAN, for
22 instance, and they are telling us that they file, et

1 cetera, et cetera, we might be able to -- okay, so
2 you were a secretary. Then, in what industry did
3 this happen? So -- because like I said, the
4 secretary job may change the definition from
5 industry to industry, okay. And the level of skill
6 of a secretary may change from industry to industry.

7 MR. HARDY: Sorry to interrupt you. Thank
8 you.

9 MS. HUSKEY: No; no. This is just an
10 alternative how to -- especially when we are now
11 looking, trying to match a claimant to past work.
12 You know, okay, where exactly do they fit it?
13 Transferability is completely different. Okay.
14 Yeah.

15 Did I answer your question?

16 MR. HARDY: Yes, ma'am.

17 MS. HUSKEY: Okay.

18 Okay. The other alternative that we have
19 right now is the OccuBrowse, which offers a
20 potential alternative to the DOT. And with the
21 incorporation of additional information, could
22 become an even more valuable and practical tool for

1 the use of the disability examiner.

2 One of the beneficial aspects of the
3 OccuBrowse is that it allows for scanning of related
4 job title in the list of jobs that follow the one
5 entered in the search. This feature, as well as the
6 ability to enter key words in the search engine,
7 would be an asset to any future occupational
8 reference materials. The ability to scan related
9 jobs in a list that are closely related to the
10 claimant's job would be a very effective tool in a
11 transferability of skills analysis.

12 Another useful feature of the OccuBrowse
13 is that it includes a category of situations in the
14 requirements section. The information it contains
15 assists the disability examiner in determining the
16 feasibility of jobs for claims -- claimants who are
17 assessed with mental limitations.

18 The OccuBrowse also lists undefined
19 related titles which can steer the disability
20 examiner to a more accurate job title when
21 identifying the claimant's past work as preferred in
22 the national economy.

1 Questions to ponder. It is difficult to
2 make a defensible argument that skills acquired from
3 a claimant's current work activity would be
4 transferable to other jobs that have a date last
5 updated in the 1970's and 1980's. Those are
6 supposedly closely related jobs that we are citing
7 in our transferability analysis. Unless we can cite
8 more current jobs to which a claimant's skills are
9 transferable, it may be more practical to eliminate
10 the concept of transferability from the program. Of
11 course, this would require some revision of the
12 vocational rules tables as well.

13 If the transferability concept is
14 eliminated, we would then consider the claimant's
15 description of past work in step four of sequential
16 evaluation, totally avoiding the issue of citing a
17 DOT counterpart. This would allow an updated or
18 replacement DOT, or other occupational resource
19 system to be utilized only in step five for citation
20 of other unskilled jobs in denial decisions and for
21 citing the vocational rule that directs the final
22 determination.

1 By accepting the claimant's description of
2 past work when making the function-by-function
3 comparison to the RFC and/or the MRFC, we eliminate
4 a cumbersome task of identifying the jobs in the
5 DOT. This would appear to eliminate countless
6 erroneous job identification issues and allow us to
7 abide by the concept that the claimant is the
8 primary source of job information.

9 Education as a vocational factor. In
10 today's rapidly changing technological job market,
11 does a high school diploma or college degree earned
12 in the distant past, even ten years ago, truly add
13 any vocational advantage to the claimant?

14 I also have an addendum of occupational
15 information. We find that in the DOT -- and I
16 mentioned the reaching requirements. There are
17 typically four reaching levels to be considered.
18 Under the shoulder, at the shoulder, above the
19 shoulder, and above the head. This is essential to
20 a disability decision, and this is something that
21 we're not even considering right now, because it's
22 very difficult, and nobody bothers to explain it to

1 us. We actually have to call the claimant.

2 For instance, a claimant that cannot reach
3 in all directions, as the RFC says, either above the
4 head -- let's say in all directions. If the
5 claimant is restricted in reaching in all
6 directions, can a claimant that is doing sedentary
7 work do that job? Because you need to reach at all
8 times. Can this be done with one extremity or both
9 extremity? All of these things need to be mentioned
10 in the job when jobs are compiled.

11 The DOT does not show specific handling
12 requirements, basic grasping, forceful grasping,
13 twisting at the arm -- at the wrist; is the arm also
14 required.

15 Fingering requirement -- and this is
16 pretty major now with carpal tunnel syndrome,
17 especially now with so many technical jobs where you
18 are using your hands all the time. Pinching,
19 keyboarding, bilateral requirements. Is it
20 bilateral or unilateral? If you have a person that
21 literally cannot use their hands due to those
22 things, you know, are they able to perform the work?

1 Are they able to work at all?

2 Environmental factors that I mentioned
3 very heavily in my presentation, such as fumes.
4 Such jobs as sewing machine operators. It is very
5 difficult to evaluate a job of a sewing machine
6 operator, because we need to know the size of the
7 machinery. How are the lower extremities used. Is
8 there foot pedals? Is there just a knee? Is
9 there -- you know, so we need to know exactly what a
10 sewing machine operator -- the size of the machine,
11 the type of the machine; and what is it that is need
12 to perform that job in terms of extremities.

13 And definitely the stress issue. The
14 stress issue level has to be specified, and this is
15 something that is not found in the DOT. Can these
16 people work in teams? Can they work around people?
17 These kinds of things are essential to determine
18 whether the person can return to the past relevant
19 work or not.

20 And in closing, the old drunk staggers
21 home one night and literally falls on the floor as
22 he opens the door to his house. His wife glaring

1 down as he is laying on the floor demands to know
2 what he has to say for himself. The old drunk looks
3 up to her and replies, I have no prepared remarks;
4 but I will be happy to take questions from the
5 floor.

6 DR. BARROS-BAILEY: I think we have time
7 for one question.

8 DR. WILSON: I would just very much like
9 to thank you for your comments. It has been very
10 helpful. If you can tell me anymore about what you
11 consider user friendliness would be. I know we
12 heard a little bit about searchability and the
13 program running not being a resource on the
14 computer; but are there other kinds of things that
15 you think of when you say "user friendly"?

16 MS. HUSKEY: You know, I asked a lot of
17 people before I came today, I said, okay, in your
18 dream, how would you like to do this occupational
19 research data? And they said, if we could type
20 in -- let's say, a claimant tells us that they, you
21 know, investigator, going back to that. Type that
22 skill in and see what comes up. You know, what

1 kinds of jobs come up with that. And they said that
2 would be really helpful, because that would not only
3 help them look or connect with the present or the
4 past relevant work, and maybe even help them in
5 doing transferability of skills.

6 DR. WILSON: Would you like it at all if
7 the computer could help you with that?

8 MS. HUSKEY: Oh, yes.

9 DR. WILSON: That it would ask you a
10 sequence of questions that might guide you as
11 opposed to just having you do the search yourself?

12 MS. HUSKEY: Oh, that would be wonderful;
13 yes.

14 DR. BARROS-BAILEY: Thank you very much.
15 That was very helpful. As we're going through this
16 process, it is also helpful if there are other ideas
17 that you have even after you leave, anything that
18 you would like us to do.

19 MS. HUSKEY: Excuse me. Likewise, if the
20 Panel has any other ideas that they would like us to
21 answer to, you can reach me by e-mail, and I will be
22 glad to get you the answer promptly.

1 DR. BARROS-BAILEY: Thank you. And if you
2 can send our thanks to the national association,
3 that would be great as well.

4 MS. HUSKEY: Thank you.

5 DR. BARROS-BAILEY: Okay.

6 Next, we would like to introduce Trudy
7 Lyon-Hart. She is presenting to the Panel on behalf
8 of the National Council on Disability Determination
9 Directors, NCDDD, a voluntary managerial association
10 composed of the directors and top administrative
11 staff of State and Territorial Disability
12 Determination Service agencies located throughout
13 the U.S.

14 Central to NCDDD's mission is provision of
15 the highest possible level of service to persons
16 with disabilities. The organization's goal is to
17 provide leadership through dialogue with Social
18 Security and other organizations interested in
19 protecting the rights of people with disabilities,
20 and through encouraging policies that best serve the
21 public interest in accomplishing the mission of the
22 disability program.

1 Ms. Lyon-Hart is currently the secretary
2 of NCDDD, and director of the Vermont DDS where she
3 oversees the state's Social Security disability
4 determinations at the initial and reconsideration
5 levels, as well as continuing disability reviews and
6 the first appellate level hearings of those reviews
7 by disability hearing officers.

8 Trudy, welcome.

9 MS. LYON-HART: Thank you. Thank you,
10 Mary. And thanks to the Panel for inviting the
11 National Council of Disability Determination
12 Directors, formerly known as NCDDD to present our
13 perspective and ideas to the Panel today. My
14 presentation reflects the input of the NCDDD
15 membership, that is the DDS directors,
16 administrators and management staff across the
17 country.

18 Despite the pretty tight time frame 34
19 DDS's responded to my call and provided the material
20 that I have compiled for this presentation.

21 I would like to take a minute first as
22 part of my introduction to describe the context, to

1 describe the DDS reality on the ground. As you
2 heard from NADE, we process a high volume of cases.
3 Our job is to get them all done accurately and
4 quickly. The typical disability examiner processes
5 about 500 to 600 cases a year.

6 Doing the math, taking them -- using the
7 number of hours typically worked in a year, that's
8 less than four hours per case on average. In that
9 time the examiner has to do -- as NADE told you --
10 has to do basically everything to developing and
11 determining that case. They write the medical
12 evidence. When they don't get it timely, they have
13 to follow-up. They have to call and clarify
14 information from sources. They have to read and
15 analyze well over 100 pages in most cases of medical
16 reports and functional information. They have to
17 analyze RFC assessments single decision maker
18 states. They even write those assessments. And
19 then they do the vocational assessment.

20 Now, not all cases, of course, require an
21 RFC and a vocational assessment, but the vast
22 majority of them do. Then they will write the

1 decision and the notice of the claimant.

2 That's a lot to do in an average of less
3 than four hours per case. And it doesn't include
4 the time it takes an examiner to -- to manage their
5 case load, which is usually 150 or more cases at all
6 stages of development.

7 So even when DDS's have full time
8 vocational specialists, they don't have enough hours
9 to handle every vocational analysis that must be
10 done. The reality is the examiner's do most if not
11 all of them. That's today.

12 The workload is increasing. Our baby boom
13 examiners are retiring. We are hiring new examiners
14 with little experience, and they take two years or
15 more to train sometimes; and it's, you know, a big
16 investment of intensive training and mentoring.

17 Given this context, you can see how
18 vitally important it is to have vocational
19 assessment tools that are quick and easy to use, and
20 that provide complete, accurate, consistent
21 information that the examiner needs to make the
22 right decision.

1 I was asked to address several issues in
2 this presentation. The first is, what occupational
3 information do we need to adjudicate claims? We
4 need a compilation of jobs that currently exist in
5 the national economy. We need job descriptions that
6 are consistently structured and that list duties,
7 work processes, tools and machines, and required
8 skills.

9 We need the functional demand for each job
10 to be described in a way that corresponds to SSA
11 defined physical and mental residual functional
12 capacity, RFC assessment categories and measures.

13 We need to be able to quickly find jobs
14 with similar duties, tools, machines, skill sets,
15 and industry for accurate and consistent
16 transferability assessments. Where the
17 transferability of skills among the subset of jobs
18 has been established, these list should be readily
19 available to all adjudicators, and their application
20 should be the official policy for all adjudicative
21 levels.

22 And we need lists of unskilled jobs at

1 each exertional level that exist in significant
2 numbers in the national economy that the
3 adjudicators may reference in determining jobs to
4 cite in other work denials where skill
5 transferability is not material or doesn't exist.

6 So there are gaps, obviously, between the
7 old DOT and the SCO, and what is needed to
8 adjudicate claims. The two major issues are the
9 outdatedness of the DOT and the SCO information not
10 matching with the RFC information that we -- we
11 measure.

12 Many jobs are missing in the DOT. Just a
13 few examples, the computer field, communication,
14 medicine. I have been told that they can't find a
15 Walmart greeter in there.

16 And composite jobs have, in all
17 likelihood, multiplied as companies have downsized
18 and done more with less in these years. This may
19 affect the number of unskilled jobs in the national
20 economy, as these jobs have been incorporated into
21 the duties of jobs that also require more complex
22 tasks. And an example in our -- in most of our

1 offices is that our managers now do things like open
2 the mail, as well as manage. We don't have the same
3 kind of clerical support we use to have.

4 The DOT also provides few descriptions for
5 those assistant managers, working supervisors, and
6 lead workers that oversee shifts, but may not have
7 the full managerial responsibilities of hiring,
8 firing, and other types of duties. Some DOT job
9 descriptions are obsolete. Either the jobs are
10 performed differently now or they don't even exist.

11 The SCO provides limited information about
12 the functional requirements of the jobs, often
13 merely whether or not the function is used to a
14 significant degree, without further specification.
15 So more information is needed to perform the
16 function by function comparisons to identify the
17 jobs within an individual's residual functional
18 capacity assessment.

19 Any of you can take an RFC form and
20 compare it to what information the SCO provides, and
21 easily see the discrepancies, so I will just name a
22 few examples and NADE gave you some of these as

1 well. Things that are not well defined and
2 described for us include alternating standing and
3 sitting positions, whether a job can accommodate
4 that kind of ability to move about, and how
5 frequently.

6 Various postural requirements, the
7 reaching requirements. NADE went into that in
8 pretty much depth. We need to know the height of
9 the reaching that's required in the job, the
10 direction, and whether it can be done by one arm or
11 requires both arms to do that kind of reaching.
12 Also, one arm handling and fingering is also very
13 difficult to tell from the DOT and the SCO.

14 I will skip some of the other examples
15 that you have in writing, but I want to highlight
16 the details of the mental demands in jobs that are
17 also not well defined, so that we can match a job
18 with a mental residual functional capacity
19 assessment.

20 We need to know things like the level of
21 the task complexity, how much independent judgment
22 is needed, how many steps in a series of tasks a

1 person has to do. How fast paced and high pressured
2 the job is. The types of interpersonal
3 interactions, the frequency and adapt -- you know,
4 adaptability. How many changes does a person have
5 to be able to adapt to easily to do that job. Also,
6 can the job accommodate variable schedules and extra
7 work breaks that might be needed not only for people
8 with mental impairments, but with physical
9 impairments that have a component of fatigue or
10 pain, or require like bathroom -- extra bathroom
11 breaks, that kind of thing.

12 In short, the requirements for specifying
13 very detailed information on our RFC assessment
14 that -- the policy for that has been to increase
15 that level of specificity over the years. And the
16 SCO just has not been able to keep pace. We need
17 the two to be aligned.

18 So what new information do we need? I
19 have already addressed the need for currency and the
20 correlation with the functional demands with the RFC
21 and the SCO, or whatever replaces that.

22 Taking a broader view for a moment, there

1 needs to be reassessment of the vocational rules and
2 the occupational basis the grids represent. The
3 number of jobs in the national economy that these
4 grids represent as the sedentary, light, and medium
5 levels, given the changes from a manufacturing to an
6 information and services based economy, and the
7 technological changes that have transpired since the
8 vocational grids were created.

9 The current vocational rules were created
10 for a different society and do not take into
11 consideration today's reality. That reality
12 includes older workers remaining employed longer.
13 The technological advances have caused an overall
14 shift to lighter, less English-reliant work. And we
15 wonder how many unskilled sedentary jobs currently
16 exist, and what exactly do they require in the way
17 of physical and mental abilities?

18 There also need to be ongoing assessment
19 of how long skills in the various occupations remain
20 viable, aligning SSA policy for how far back in the
21 claimants' job histories adjudicators must go in
22 determining the relevance and transferability of

1 jobs.

2 Another question is what kind of platform
3 should this new occupational information tool use?
4 And the DDS community recommends an electronic
5 database kind of platform. It should be searchable
6 by keyword, skills, tools, machines, anything else
7 that you can think of with progressive search
8 options giving the adjudicators the ability to
9 further narrow the search as they go forward; or if
10 it comes out too narrow, too broad in that.

11 It should have cross-references to
12 synonymous or closely related job titles. It should
13 have ability to thesaurus the similar terms and
14 titles, and a glossary of tools, and machines, and
15 other technologies with which the typical
16 adjudicator many not be familiar.

17 It might also provide other methods to
18 help adjudicators really understand the work that
19 the claimant has done or what kinds of jobs they are
20 choosing to say that the claimant can go back to,
21 things like videos of how machines -- video clips of
22 how a machine is operated, what it looks like might

1 be very helpful.

2 This tool should have the capacity to
3 systematically retrieve lists of jobs to which
4 skills could be potentially transferred once past
5 work is identified. It would be great to have links
6 at the bottom of -- you know, when you are looking
7 at this information so that you can get right to
8 jobs that might work for your -- for your claimant,
9 and be able to then refine that lists by, you know,
10 the various RFC limitations the claimant has, the
11 age and education, that kind of thing.

12 We would like to see a structured
13 database -- structure to the operation of the
14 database that would guide users through the steps of
15 vocational analysis and provide a format for them to
16 explain the decisions they're making as they go
17 through the process, and why they ruled out this job
18 nor ruled that job in, et cetera. How they
19 transferred skills or decided that adverse profiles
20 were not met, et cetera.

21 Then, if that can be built into the tool,
22 then it should interface with the electronic folder

1 so that the database search findings and the
2 adjudicators analysis of those findings become part
3 of the file in a standard format.

4 We need SSA to develop a tool and make it
5 easily updatable and supported by a routine, ongoing
6 process of, you know, regular updating. We don't
7 want to be 20 years from now looking at what this
8 Panel helps to create and say oh, God it's all out
9 of date. We have got to update it again. It should
10 be something that we can continually update, and
11 that it continually adapts to any further policy
12 changes that SSA will make over the years in such
13 areas as, you know, what we do with RFC assessment
14 and vocational analysis.

15 It should be user-friendly, and that
16 partly means, besides the things that I have already
17 mentioned, that, you know, should involve as little
18 screen changes and toggling as possible. Have it be
19 visually easy to follow, bulleted lists sometimes
20 rather than a paragraph of things. Incorporating
21 skills and other information that is now kind of
22 found in a lot of different places, but if it can be

1 all in one basic tool.

2 We were asked to identify available
3 resources that SSA might use in developing the -- a
4 tool for the 21st Century. So we -- we know of
5 the following, and I'm not, as an administrator
6 now -- although, I was an examiner in the past and
7 used the DOT and SCO; but I have not personally used
8 a lot of these tools. But the input that I received
9 was there is OccuBrowse, the Occupational Outlook
10 Handbook from the Bureau of Labor Statistics web
11 site, Job Browser Pro by SkillTRAN.

12 Many adjudicators found the "less than"
13 search function of the Denver DOT useful, although,
14 we're not using the Denver DOT now, as I understand.

15 O*Net has promising features, but it lacks
16 some of the RFC categories and measures of
17 limitations that we need; County Business Patterns.
18 Then, of course, we have vocational experts. Then
19 there may be other places that we might go for
20 information, such as any assessment tools that
21 rehabilitation or occupational therapy industries
22 have produced; or industries themselves may have

1 developed comprehensive job specifications and they
2 may have a process for updating them; and there may
3 be the potential for further collaboration with DOL
4 and voc rehab.

5 There is a smorgasbord of various tools,
6 and the most user friendly thing I can think of is
7 that we need one tool that the disability
8 adjudicator can go to and quickly do their
9 vocational assessment through.

10 Before I close some members of my
11 organization offered a few related suggestions, and
12 I will just, you know, identify quickly. One was to
13 revise the vocational report form, the 3369, to ask
14 claimants better questions about job descriptions,
15 functional requirements of those jobs, and the
16 skills they use; and to devise -- form those
17 questions more in line with the RFC categories and
18 measures. To remove yes/no questions that don't
19 really get at the level of detail and descriptive
20 information that we need.

21 Another recommendation was to provide
22 comprehensive -- to provide the DDS a comprehensive

1 training curriculum for all adjudicators on the use
2 of any occupational information tools. As I
3 mentioned, you know, we don't really have vocational
4 specialists that can do all of these. We are using
5 adjudicators, and many of them are new and
6 inexperienced to do these decisions, and we really
7 need training. As NADE mentioned, people use what
8 you train them in. So we need to train them in all
9 the tools.

10 If a project is done in stages -- if the
11 development of the database is done in stages, we
12 recommend trying to get to the most frequently --
13 just most frequently worked jobs first. And, you
14 know, you can find that out by probably assessing
15 what people put on their 3369's.

16 Another recommendation that a couple of
17 members suggested was possibly expanding the
18 listings and using some demographic information to
19 devise listings similar to the way some listings
20 have function built into them. So that we could
21 possibly -- if we're going to allow a person anyway
22 in a longer vocational assessment form, could we

1 find a way in some instances to make that a listing
2 level decision that would be quicker and easier to
3 do?

4 In closing, this project has exciting
5 possibilities. I was really excited to be asked to
6 do this, and to work with you. It has the potential
7 to improve the consistency and quality of vocational
8 analysis and disability determination across the
9 national program. It may be -- it may have costs
10 and time and effort that have to go into updating
11 the data, and creating a smart kind of platform, and
12 we hope that that will now be prohibitive, because
13 it's really critical that we develop such a tool and
14 soon to keep the disability program valid, and our
15 determinations fact-based in the 21st century.

16 SSA needs to act soon, since much of this
17 data is obsolete and the available tools do not meet
18 the adjudicative needs or provide the supports
19 necessary for us to process the burgeoning workload
20 in a timely manner with a changing staff and new
21 staff coming in.

22 That is basically my message. Thank you,

1 again, for the opportunity to provide the input. I
2 will take any questions at this time.

3 DR. BARROS-BAILEY: Thank you, Trudy. We
4 do have time for questions, Shanan.

5 DR. GIBSON: First of all, I just want to
6 thank you. This is the most comprehensive list we
7 have had from an end user regarding needs and wants.
8 It's been very enlightening. So thank you much.

9 Also, one of the things you mentioned, we
10 ask you to expand just a bit, if you don't mind;
11 although, it's probably not what you were asked to
12 report on. You have given us a very detailed run
13 down of the things that are on the vocational
14 information side, the tools you use. And you
15 mentioned that frequently the language of the
16 vocational assessments tools does not correspond to
17 the language of the people side RFC tools.

18 Could you speak for just a moment
19 regarding the quality of data you receive on the
20 person side that's utilized in those two RFC forms?
21 Because one of our goals will be, obviously, to have
22 them better work together.

1 MS. LYON-HART: Just let me make sure I
2 understand your question. You want me to speak
3 about the information we get from the claimant?

4 DR. GIBSON: The medical.

5 MS. LYON-HART: The medical information,
6 and how that corresponds to the RFC assessment, is
7 that what you are asking me?

8 DR. GIBSON: How it more corresponds also
9 to the vocational information that you are trying to
10 marry to it so that you can make your determination.

11 MS. LYON-HART: I'm not sure I get it.

12 DR. GIBSON: Do you like the RFC forms?

13 MS. LYON-HART: Do I like them?

14 DR. GIBSON: Do they give you the
15 information you need in comparison to the work
16 information?

17 MS. LYON-HART: In comparison to the work
18 information. Obviously, you can take and make
19 changes either way. I mean, you can change the RFC
20 form to better match the existing types of job
21 information we have.

22 You know, it's really important to us that

1 we give each applicant a very fair decision. So I
2 tend to like the trend that took place in the last
3 10, 20 years, getting into more specifics of what a
4 person really can and can't do and what that is, and
5 then trying to match that to the job. I think
6 that's a very fair way of doing it. It is not easy
7 because many things impact what a person can and
8 can't do.

9 You know, pain levels are experienced
10 differently. The same x-ray findings. One person
11 can be walking around just fine with that disc
12 misalignment, and another person isn't. Fatigue can
13 affect people, and we do have to consider
14 motivational factors for a person and what they do.
15 It's a very difficult job that disability
16 adjudicators do in that less than four hours per
17 case.

18 So -- and obviously, that's an average,
19 you know, if you have a difficult assessment you
20 don't set it down and say well, I only have 20
21 minutes to do this and that's all I'm going to do;
22 but they have to be juggling everything and getting

1 the easier cases out quicker and ones that don't
2 require them -- but I'm getting off.

3 How would I -- I definitely think that the
4 3369 information is not -- those questions are not
5 tailored well for what we really have to do with our
6 assessments. I would like to see more questions --
7 better questions not only about what the person did
8 in their job, but also questions about what they
9 feel they can and can't do now that are tailored
10 more to the RFC form, which I guess I think is
11 pretty good.

12 We're required to -- I mean, it could be
13 better. It could ask more specific questions. Now,
14 we're required to remember to describe exactly how
15 much alternates the sitting/standing the person can
16 do or to describe the reaching. It can trigger --
17 there are issues where a doctor doesn't
18 necessarily -- or a single decision maker doesn't
19 necessarily remember to explain it in as detailed a
20 fashion as we need, then there is back and forth.
21 That is not efficient.

22 You know, basically, it's a pretty good

1 framework, but I would like to see the vocational
2 report and the questions about what the person can
3 and can't do, be better aligned with that; and then
4 also change the -- or add to the vocational
5 information. Does that answer your question?

6 DR. GIBSON: It gets very close to it.
7 Thank you.

8 DR. BARROS-BAILEY: Thank you. Gunnar.

9 DR. ANDERSSON: My question is tangential,
10 but how many of your decisions are appealed, and how
11 many are changed on appeal?

12 MS. LYON-HART: I don't have that off the
13 top of my head. I tend to think that Social
14 Security should be able to provide that to you
15 probably. I would hesitate to just give you an off
16 the top figure. I know my own state, but Vermont is
17 very tiny and may not be, you know, exemplative of
18 the entire nation.

19 In my state right now we're running on --
20 our allowance rate is between 45 and 50 percent
21 usually. I don't have an idea of how many of the
22 denials actually are appealed; but of those appeals,

1 our reversal rate, you know, on our own first
2 decisions varies. I mean, I have had months where I
3 have 25 to 30 percent. My last month was
4 11 percent. So it does vary, and they're a lot of
5 factors there that would influence that.

6 Some of the factors are the better the
7 initial decision, the less likely it is to be
8 overturned, although, things can change. You can
9 get more information, or the person's impairment may
10 not have improved as expected, or this time they may
11 go to the consultative exam they missed the last
12 time.

13 Also, the allowance rate, even at the
14 initial rate, they're a lot of factors that go into
15 that that may vary from state to state. What we
16 have been finding, you know, a couple of years ago
17 we were running an allowance rate at about 50,
18 51 percent, and we have seen that slip a bit.

19 The anecdotal information I hear from the
20 people who are looking at these cases, is that with
21 the economic downturn we are getting applications of
22 people with probably less severe impairments who

1 just have lost their jobs and can't get another job,
2 and are looking anywhere for help at that point.

3 So that tends to yield -- because, of
4 course, we don't make the requirements easier, it
5 tends to drop the allowance rate a little bit.

6 DR. ANDERSSON: The reason I'm asking,
7 actually, I have heard that the numbers are fairly
8 substantial. And I'm just wondering whether or not
9 on appeal you get new information -- which you
10 probably do -- and whether or not that information
11 is more related to the impairment than it is related
12 to the patient's job.

13 MS. LYON-HART: Okay. That's a good
14 question. I think, yes, for the most part we do get
15 more information. Most of it is probably medical,
16 but we may expand on the vocational information as
17 well. Particularly, we are instructed that, you
18 know, if we make every reasonable effort to get the
19 detail from the claimant, but they don't respond,
20 then, we do an insufficient evidence denial. And on
21 the recon we would want to try to -- once more to
22 get that information, and the person may be more

1 forthcoming.

2 So I think we do -- we do try to make sure
3 that we do have a good vocational history developed,
4 especially at the recon. It should be done at the
5 initial. We don't want to focus all our efforts at
6 the reconsideration. We want to allow people as
7 quickly as we can. They deserve it; or make the
8 right decision if it's a denial. But we do get more
9 information in both areas, I would say.

10 DR. BARROS-BAILEY: Sylvia.

11 MS. KARMAN: Yes, I just wanted to let the
12 Panel and Trudy know that we will provide the
13 information with regard to the national appellate
14 rate and allowance and denial rate. We will get
15 that information as soon as we can. I don't have it
16 on me exactly. I want to be correct.

17 And the other thing is, is that in
18 response to the point about -- Gunnar's question
19 about what changes at the appellate level possibly,
20 you know, where we need more -- you know, is there a
21 change medically? Is there some other information
22 that's brought forward about vocational issues

1 versus medical issues? One of the things we're
2 doing -- our project team is about to begin a study
3 that will get at not only the types of jobs that
4 claimants have when they apply for disability, so we
5 can do just as your organization is suggesting, we
6 can focus our attention initially -- especially
7 working in stages, that we want to get those jobs
8 first that are most frequently found in our claimant
9 population. But we're also going to attempt to pull
10 information about the vocational input, the medical
11 vocational input at the initial level for the DDS,
12 and at the appellate level in ODAR, so that we can
13 see possibly where the change is.

14 What are we looking at? What is Social
15 Security -- when we issue a denial, what vocational
16 input did we use? What job did we cite, for
17 example, as examples of what the person has for
18 remaining function to be able to do? So we're
19 hopeful that that information actually will answer
20 his question.

21 DR. BARROS-BAILEY: Thank you, Sylvia.
22 Deb.

1 MS. LECHNER: Does it ever concern you
2 that the information that you are getting -- excuse
3 me -- for the RFCs or/and the vocational histories
4 of the individual is largely self report, or
5 inferences from medical data?

6 MS. LYON-HART: Yes. I would be very
7 concerned if we kind of dropped the step of finding
8 their job as it's performed in the national economy,
9 for example. Certainly, if we dropped that, people
10 could increase their -- what they report as having
11 done. We don't really spend a lot of time, you
12 know, verifying that. Especially if it's 15 years
13 ago, would be almost impossible to verify.

14 I do think that it helps to -- a lot of
15 the functional information that we use in making the
16 RFC has to be -- has to be consistent with -- is
17 self reporting. It has to be consistent with things
18 that the doctors tell us. It doesn't have to be
19 perfectly consistent, but, you know, it has to be
20 supported by information that comes from the medical
21 reports. And one of the reasons that it takes a
22 long time to develop a case is because we're trying

1 to gather as much information as we can as sort of a
2 broad view, and the perspective of different
3 treating sources and employers, and that kind of
4 thing.

5 We will talk to employers, especially with
6 mental impairments, about how a person functioned on
7 the job and where they might have had problems,
8 especially if they had unsuccessful work attempts.
9 You know, what were the problems? And that kind of
10 helps to verify what the person might say.

11 Does that answer your question?

12 DR. BARROS-BAILEY: Tom.

13 MR. HARDY: You said something that caught
14 my interest. You were under new information needed.
15 Ongoing assessment of how long skills and various
16 occupations remain viable? I think that's a
17 fascinating question. I'm heading the Skills
18 Subcommittee, so my attention is very much focused
19 on that.

20 In my mind I think I know what you are
21 saying. I would just ask you to expand that. Give
22 me an example or two to make sure I'm tracking

1 longer.

2 MS. LYON-HART: Okay. Well, take the
3 disability adjudicator position. Someone who last
4 performed it seven or eight years ago has never
5 worked with the electronic folder. That's a whole
6 skill subset that they don't have. The jobs --
7 maybe they were using a different -- earlier -- you
8 know, the job back then may have used the different
9 types of -- we had more specific guidance.

10 We had charts of well, if your pulmonary
11 function test findings are like this, then, you can
12 do light work. We had charts like that back in the
13 '80's for instance. It made for more cookie cutter
14 decisions. It made for less analysis. The job may
15 now require much more kind of real analysis than it
16 use too.

17 Jobs change, so just because you did this
18 job five or six years ago, your skills may now be
19 outdated. Let's see. You know, so that it might
20 be -- the person really might not be able to go back
21 to that job, you know, because they just -- the job
22 has grown and they have not, even though you have

1 got a same job title. Or the job may be the same,
2 but the fact that you haven't done it for five or
3 six years, you know -- some jobs are like riding a
4 bicycle, but not all jobs are. So there may be that
5 component too. Did that answer?

6 MR. HARDY: Yes.

7 DR. BARROS-BAILEY: Thank you. I have a
8 question that's kind of related. Do some DDSs have
9 or have they developed a transferable skills
10 worksheet -- analysis worksheet? So there are
11 specific tools that have to be developed at
12 particular DDSs to try to deal with some of the
13 issues that Tom was asking about.

14 MS. LYON-HART: I think so. You know, not
15 having polled my people for that, I'm pretty sure
16 that there are a number of tools out there that
17 people use. Just work sheets that guides through
18 the sequential evaluation. And I know that, you
19 know, we had one that we used. So at least one DDS
20 does, but I would imagine that quite a few do.

21 They might be able to -- you know, at a
22 certain point if you wanted to get some examples of

1 those, I could probably collect them and get them in
2 for you if you would like.

3 DR. BARROS-BAILEY: And kind of related to
4 that, you had talked about a problem that presents
5 when you have like sit/stand options, and the short
6 fall of the DOT in terms of addressing that. So
7 could you speak to maybe methods that have been
8 developed by different individuals or DDSs in terms
9 of addressing those short falls. I mean, what
10 happens?

11 MS. LYON-HART: We tend to -- we tend to
12 rely on whatever guidance Social Security gives us.
13 And in terms of, say, the alternate sit/stand, we
14 have sort of rules of thumb, you know, about well,
15 if a person can maintain one position for two hours,
16 and then, you know, when they have a break that they
17 could change, then, that probably would allow that
18 type of work to be done. But if it's more frequent
19 changes that the person has to make maybe -- maybe
20 also positions that they wouldn't normally be
21 working in. Say, the person can only sit for an
22 half hour, then they have to lie down for a half

1 hour. That might be very difficult to do in certain
2 jobs.

3 But I think that -- I think that's an area
4 where the policy and the information really needs to
5 be expanded, because that's -- that's an area where
6 we often will get quality return, because we didn't
7 go in the right way. It's easier for two different
8 people to look at the job -- look at the person, the
9 claimant, and the job, and make different decisions.

10 And part of -- going back to Gunnar's
11 concerns about appeals. One of the things we really
12 want is that if we can have more spelled out policy
13 and better tools, and more definitive information
14 that provides a more consistent -- no matter who
15 operates that tool they come out with the same --
16 you know, at least, hopefully, they come out with
17 the same set of jobs and transferable jobs, and that
18 kind of thing. To make -- and then use that at all
19 different levels of appeal, even with the ODAR.

20 So that, you know, administrative law
21 judges -- because -- you know, then your decisions
22 are more consistent at every level. I kind of went

1 off on a tangent on you, Mary, about the level. I
2 wanted to get that in. I think it's really
3 important that we have -- we use the same tools. I
4 think some of the discrepancies we see now between,
5 say, DDS decision and an ODAR decision are because
6 of the differences in the vocational experts, and
7 how we are able -- you know, the information we
8 have, and the expertise we have is different from
9 what is at ODAR. It would be nice if the whole --
10 you know, all that expertise was equally there and
11 available, and kind of guided decisions.

12 DR. BARROS-BAILEY: Thank you. Sylvia.

13 MS. KARMAN: I just want to quickly
14 confirm that this -- actually, I'm glad you asked
15 the question, Mary; and thank you, Trudy, for
16 responding on it. Because basically, I think this
17 is one of the reasons why Social Security is working
18 on this project, trying to move this forward.

19 I think the Agency's ability to deliver
20 better guidance or more clear guidance about
21 something such as sit/stand option has a lot to do
22 with the fact that we really don't have that kind of

1 information about occupations. So clearly, it seems
2 that for the Agency to be able to provide better
3 guidance at any level, we're going to need to have
4 occupational information that can give the Agency
5 the confidence, you know, that the rules its making
6 are going to the issues appropriately. So I think
7 it's just -- that just goes -- the fact that we are
8 unable to deliver that, I think, is really -- speaks
9 to why we're here.

10 MS. LYON-HART: That's a really good
11 point.

12 MS. KARMAN: So thank you.

13 DR. BARROS-BAILEY: Thank you. And I
14 think we have time for one more question. Mark.

15 DR. WILSON: Thanks. I really appreciate
16 your comments as well. And I would like to echo
17 Shanan, the specificity is very useful. And I think
18 one thing that's coming through loud and clear is
19 this common, more usable vocational analysis tool
20 that takes into account what and how, and the pace
21 of work is very important.

22 But the other aspect about which you

1 mentioned is even with the same tool, people don't
2 have comparable training. Could you speak more
3 about that. What would you envision in terms of an
4 examiner training program? What would that need to
5 involve? Where should that take place? And you
6 know, just anymore thoughts of the issue of how we
7 might roll this out. How we might train people, new
8 procedures, would be very helpful.

9 MS. LYON-HART: Okay. Not being a
10 vocational expert, I don't think I could give you a
11 run down of what the entire curriculum should be.

12 DR. WILSON: I'm interested from your
13 standpoint, being out there in the trenches, what's
14 going to work, what isn't? What kind of training
15 would fit best?

16 MS. LYON-HART: Well, I deliberately said
17 in my verbal comments that it should be a training
18 curriculum, as opposed to just training. Because
19 training sounds like, you know, a quick workshop,
20 you know. Social Security -- I don't know if you
21 know -- they have like an interactive video that we
22 can watch training; it's delivered from the nation.

1 We can watch it in all our offices. Then they have
2 it on video on demand. You can go in -- you can go
3 back and view that training at any time you need to
4 with, say, new staff or staff that was absent the
5 day it was broadcast. That's very useful.

6 It would be very good -- they have
7 expanded training programs for claimant's
8 representatives, and, you know, basic training, that
9 kind of thing. I think there would be -- there
10 would be a great, great need, and it would be well
11 used to have something like that, that -- I mean,
12 not as long as the entire claims rep training, but a
13 substantial walk through -- first your basic -- all
14 of your vocational -- you know, the whole vocational
15 analysis, how it works. How you use all the tools
16 to get your answers to the various questions at each
17 step.

18 And then, you know, like I could see a
19 basic training and then the advanced training, and
20 then that -- they can be used by the disability
21 determination how they see fit in terms of if I have
22 a big DDS with a whole bunch of vocational experts,

1 maybe I only have vocational experts trained in that
2 advanced training, because I know my vocational
3 assessments are going to be done primarily by those
4 people; or the hard ones will be done by those
5 people.

6 In a small DDS like Vermont where I don't
7 have anybody that does vocational specialist work
8 full time, I probably would have all my adjudicators
9 go through that, so that I can be better assured of
10 accurate decisions; and I think that could be very
11 useful.

12 Social Security, I have to commend them on
13 their -- they do have vocational training
14 periodically. They're running it more often
15 recently, which is very helpful. I had a staff
16 person attend, and he came back with some wonderful
17 tools, including, you know, a DVD of information. A
18 CD of information in a folder. And, you know, he
19 can then turn around and provide the same training
20 to our staff.

21 So that's -- that's another method of
22 doing it. My concern is that DDSs may only send one

1 expert maybe once a year, maybe not even. Maybe
2 they will train their expert once, and then figure
3 that until they get -- you know, that expert leaves
4 and they replace them, they won't send anybody.

5 You know, I would say that that kind of
6 training needs to be expanded. I mean, it's costly
7 to send people all into Baltimore. If it can be
8 expanded in other venues, and for the broader
9 adjudicative staff at the DDS, that would be great.

10 DR. BARROS-BAILEY: Thank you. It's
11 10:15. I would like to thank Trudy, and as I
12 indicated to Georgina as well, but if there is any
13 additional information that NCDDD has, if you would
14 like to contribute to the process, we would be happy
15 to review it.

16 So thank you both for coming today. This
17 has been very beneficial.

18 It is 10:15 now. Let's go ahead and take
19 a break. We will come back at 10:30.

20 I just want to say before we break that
21 one of our Panel members could not be here with us
22 today. She is on the phone, Lynnae Ruttledge. I

1 just wanted to acknowledge her, and let you know
2 that she is here. Thank you.

3 MS. LYON-HART: Thank you again.

4 (Whereupon, a recess was taken.)

5 DR. BARROS-BAILEY: We're ready to get
6 back on. Thank you.

7 Again, our presentation this morning is by
8 Dave Schretlen who is a Panel member who will be
9 presenting on the Clinical Inference in the
10 Assessment of Mental Residual Functional Capacity.

11 DR. SCHRETLEN: Thank you.

12 As you can see the presentation I like to
13 give this morning appears to be skewed toward the
14 person side of the person job linking bridge.
15 However, I hope that by the end of the presentation
16 people will agree that if Social Security at some
17 point undertakes an evaluation and assessment of the
18 characteristics for successful incumbents and jobs,
19 if at some point we actually look at people who are
20 not disabled, who are doing jobs and assess their
21 characteristics, their residual characteristics, if
22 you will, even though they're not disabled,

1 physical, mental, emotional, and, you know,
2 whatever, that some of the comments that I'm going
3 to make, some of the discussion this morning really
4 is, I think, germane to that. So I hope you will
5 bear with me.

6 It may not seem that this talk is directly
7 relevant to some of the concrete tasks ahead of this
8 Panel, but I think that they actually at a deeper
9 level are very germane to both the person and the
10 job side analyses.

11 So the talk is about -- what I wanted to
12 discuss this morning is methods of inference. How
13 we reason from data to conclusions. There are
14 fundamentally three methods of inference. And they
15 are the pathognomonic sign approach, patterned
16 analysis, and level of performance. There may be
17 others, but I'm not aware of them. As far as I
18 know, this is more or less an exhaustive list.

19 So there are not a lot of things for us to
20 go over in that sense. But I do want to go over
21 each of them and help you appreciate, help you
22 understand the underlying assumptions and the

1 limitations, and the threats to the validity of each
2 approach to making inferences. These are inferences
3 about whether or not someone can do a job, someone
4 has some ability that is required to do a job, and
5 inferences about what a job requires.

6 So let's talk about, first, about
7 pathognomonic sign approach. Pathognomonic signs
8 are in medicine signs that are thought to have high
9 specificity, and they're judged as either present or
10 absent. So when you do a physical examination, you
11 look for -- the physician looks for signs. If the
12 sign is present, it is thought to be strongly
13 suggestive of a disease or an impairment. But not
14 all persons with a disease or an impairment show the
15 signs. That's what it means by -- that's what we
16 mean by high specificity. It might not have high
17 sensitivity, not all people with a condition will
18 show it, but when it's present, it's significant.

19 And some pathognomonic signs are typically
20 rated as either present or absent, like a
21 pathological reflex. However, there are certain
22 questions that are often ignored, and they are, how

1 frequently do these kinds of pathognomonic signs
2 occur in healthy individuals? And how reliably can
3 we assess them? How reliably can we determine if
4 someone has one of these signs?

5 So one study that was very interesting and
6 recorded in the Journal of Neurology a few years
7 back now, involved a -- a study. There were ten
8 physicians, five of whom were neurologists, and five
9 were non-neurologist. They were doing a neurologic
10 examination of ten individuals. They were looking
11 for a specific pathognomonic sign called the
12 Babinski sign. The Babinski sign is what's called a
13 pathological reflex. When it's present -- when the
14 pathological reflex is present, it's thought to
15 denote the presence of a lesion in the upper motor
16 neuron track. Somewhere in that track of nerves.

17 Okay. Now, the way it's elicited, the
18 physician rubs the sole of a person's foot. And you
19 look at the great toe, whether the great toe flexes
20 upward or downward. A downward toe is normal. If
21 it flexes upward it's considered pathological. It's
22 a pathognomonic sign.

1 They examined -- these ten physicians
2 examined both feet of ten participants. So ten
3 physicians, ten participants, 2 feet each, that's
4 200-foot exams. Right.

5 What they did is they had the patient
6 wheeled in -- or the person wheeled in on a gurney.
7 And they were covered with a sheet, except their
8 feet were protruding out from the bottom of the
9 sheet, and the physicians simply rubbed each foot
10 and decided whether they saw this pathognomonic
11 Babinski sign. For the participants nine had an
12 upper motor neuron lesion, of whom eight were
13 unilateral. That is, you should only see the sign
14 in one foot or the other; and one had a bilateral
15 lesion, so you should see in both feet.

16 Then, of course, they had one person, who
17 was the control, with no upper motor neuron lesion.
18 So you shouldn't see any Babinski sign.

19 They did the examinations, and here is
20 what they found. They found that in -- in the 100
21 examinations of a foot in which there should have
22 been an upper motor neuron weakness, they only found

1 a positive Babinski in 35 of the 100 exams. That's
2 terrible sensitivity. So the person clearly had
3 documented upper motor neuron lesion disease, had
4 the impairment, but the pathognomonic sign was not
5 present.

6 Conversely, in -- there should have been
7 100 examinations. One of the doctors forgot to do
8 one of the feet. But in the 100 examinations of a
9 foot in which there should have been no upper motor
10 neuron -- there was no upper motor neuron lesion,
11 there should have been no Babinski, they found 23
12 positive Babinski signs. The MD thought the
13 person -- and would have made the diagnosis of upper
14 motor neuron lesion of some kind.

15 So I'm presenting these data to show you,
16 to make the point, that even something that's
17 considered as robust and reliable as a Babinski
18 reflex, and many, many physicians will tell you this
19 is a pathognomonic reflex.

20 There are others that are called
21 pathognomonic that they don't necessarily think are
22 the so-called frontal relief signs, the snout

1 reflex, gabriella reflex. There are a number of
2 other reflexes that are sometimes pathognomonic or
3 frontal relief signs that physicians are much more
4 skeptical about. I have heard many, many physicians
5 say the Babinski is one you can bank on. Yet, this
6 study shows very clearly that the Babinski sign is
7 neither sensitive nor specific.

8 In answer to the question, should it be
9 part of our routine neurological exam? These
10 academic neurologists said no, it shouldn't. Now,
11 let's find out how -- I can tell you, if you go in
12 and you see a neurologist, you are going to get a
13 Babinski exam.

14 When psychologist talk about pathognomonic
15 signs, they often refer to a drawing test.
16 Everytime I draw a bicycle, or one thing or another,
17 a flower. I draw a clock.

18 Here is something that is often -- people
19 are often asked to draw. It is a complex design.
20 It is not a memory desk. We just show the person
21 this and just ask them to draw it, just to copy it.
22 Most people begin by drawing the base rectangle, and

1 then filling in the details, marching around, and so
2 forth.

3 Now, I use this clinically -- lots of
4 psychologist do. One of the first things I do when
5 I look at a patient's drawing is just to ask, does
6 this look like a normal Rey? It's called a Rey,
7 because it was developed by a neurologist in France
8 Andre Rey. So it's called the Rey Complex Figure.
9 I always look at it and say, is this a normal Rey?

10 For example, this is a Rey that I got from
11 someone. I looked at it and I thought, this is not
12 a normal Rey copy. This is not a memory test. The
13 person is looking at this, and that's what they
14 drew.

15 Many people would say, wow, that seems
16 pretty pathognomonic for some kind of visual --
17 constructional or visual, perceptual processing.
18 However, this Rey was not produced by a patient.
19 This Rey was produced by a participant in a study of
20 normal aging that we did at Johns Hopkins.

21 We recruited people from the community.
22 We screened them very carefully. People got a

1 neurological exam, a psychiatric interview, a
2 physical exam. We did laboratory blood tests. We
3 tested them neuropsychologically. We did a brain
4 MRI scan.

5 These people were at the hospital for an
6 entire day, sometimes even coming back for a
7 fraction of another day. It was a lengthy and
8 detailed examination.

9 This was produced by a 91 year old women
10 with 14 years of education, in excellent health. I
11 mean, how many 91 year olds, the only medications
12 they're on was that. That is just not very common.
13 Her IQ was 109. She performed normally on other
14 measures. There might be something wrong with her,
15 but we couldn't see it. Her brain imaging looked
16 fine. Her laboratory blood tests were fine. Her
17 neurological exam was normal.

18 She produced this Rey, and I would
19 point -- and I'm making the point that not all
20 so-called pathognomonic signs are necessarily
21 pathognomonic of something.

22 On the other hand, here is the Rey for an

1 68 year old retired engineer who presented
2 clinically with some brain phrenia. That means he
3 was slowed down. When he walked, he walked with a
4 stooped posture, and didn't swing his hands very
5 much. That kind of walk is often characteristic of
6 Parkinson's disease. Although, he didn't have the
7 tremors that you see in Parkinson's disease. We
8 thought, well, he probably had some kind of
9 atypical, that is not typical, Parkinson's disease.

10 Then he had heart surgery, coronary artery
11 bypass graph. Then he came back 15 months after the
12 first time we saw him. He thought his memory had
13 gotten a little bit worse; but his Parkinson's
14 disease was no worse, this is the Rey he produced.
15 I thought, you know, well, it's possible that this
16 was due to, you know, the coronary artery bypass
17 graph. There is some literature that people show
18 temporary decline. Why don't you come back in
19 another year, and let's see how you are doing.

20 So then he came back, and this is what he
21 did. When he came back, his wife said that he had
22 developed visual hallucinations, that he was

1 thrashing in the bed at night, and that his memory
2 had further declined; but that his Parkinson's
3 disease was no worse, and that he was still driving.

4 And at this point it became quite clear --
5 and I want you to just notice that the thing is,
6 sometimes pathognomonic signs are pathognomonic. In
7 this case it really was. So there are some
8 limitations and implications.

9 Are there any pathognomonic signs in
10 clinical neuropsychology? Maybe, I don't know. It
11 is not entirely clear to me. Probably not for
12 specific diseases or conditions. But more
13 importantly, so called pathognomonic signs, which
14 you will often see referenced in medical
15 documentation that is used for purposes of
16 disability determination include references to
17 pathognomonic signs that may be more common in
18 normal, healthy people than is typically thought.

19 Importantly, reliability of these kinds of
20 signs is rarely assessed. Psychologists, you may or
21 may not know, can be assessed with issues of
22 reliability and validity, how to count things, how

1 to measure things. Oh, my God, get a life.

2 But physicians, on the other hand, are
3 often very cavalier about these issues of
4 psychometric properties of signs that are really
5 critical to diagnosis in medicine. So the take-home
6 message of this approach to inference is that if we
7 recommend that Social Security rely on pathognomonic
8 signs of impairment, we should not assume that
9 successful job incumbents are always free of such
10 signs. It may be that many people who are doing
11 quite well on different jobs, if examined, would
12 show signs that are thought to be pathognomonic of
13 disease and limitation and impairment.

14 Okay. So the second approach is what I'm
15 calling pattern analysis. And pattern analysis
16 refers to a recognizable Gestalt of signs and
17 symptoms in the context of a particular history; in
18 the context of, you know, specified laboratory
19 findings and test results, and so forth. This is
20 the most elaborate approach to clinical inference;
21 but it's best for patients who have typical
22 conditions.

1 A person who has typical Alzheimer disease
2 presents a sign -- a pattern of results that are
3 often quite characteristic. So if I hear that a 79
4 year old woman is coming in to see me for an exam,
5 and she is healthy physically, she is alert; she
6 hasn't had any vascular -- cerebral vascular
7 disease; she has good sort of -- social graces are
8 well preserved; and the brain imaging shows nothing
9 but some mild atrophy. And the family reports that
10 over the past, you know, six to 12 to 18 months she
11 seems to be showing a very subtle and insidious
12 progression of forgetfulness, and difficulty finding
13 words in conversation; then we give her testing and
14 she shows really significant weakness on tests of
15 memory, but relatively sparing on test of attention
16 and other abilities, that is a -- that is a pattern
17 that is quite recognizable for Alzheimer disease.
18 That's very characteristic. Everything fits.

19 But when someone has a pre-existing
20 condition and then develops another condition, or
21 you know, they have an atypical presentation,
22 pattern analysis is not always so good. It doesn't

1 always work so well. There is a lot of support for
2 this approach empirically in the scientific
3 literature, but a lot of it is pieced together from
4 different studies. And the studies often involve
5 kinds of analyses like discriminant function
6 analysis where you use statistical procedures to
7 identify groups of people.

8 And the one typical example is a simple
9 example, is there is a test called a Mini Mental
10 State exam. That is now the most widely used test
11 in all of medicine. There was a recent article in
12 JAMA about it. It was developed by Mark Folstein,
13 actually, at Johns Hopkins. It is cited more than
14 any other article in the Annals of Medicine. The
15 Mini Mental is a very interesting brief, little test
16 in orientation and memory and naming. It's often
17 used to diagnose dementia.

18 And a colleague of mine looked at people
19 with two different diseases, Alzheimer disease and
20 Huntington's disease, and compared their patterns of
21 performance. Even though they were equated for
22 overall scores, they showed very different patterns

1 of performance to get there. And those patterns
2 were really informative with respect to the kind of
3 disease that they have, whether it's dementia due to
4 a cortical disease, as in Alzheimer; or dementia due
5 to a subcortical disease, such as Huntington's.

6 Now, one of the issues about this is that
7 when we look at patterns we're looking at
8 variability. So sometimes if someone is giving an
9 IQ test, the psychologist might compare their verbal
10 IQ with their performance IQ, or they might compare
11 a person's intelligence with their memory
12 performance. They're looking for patterns. That
13 raises the question, how much variability do people
14 who are normal healthy individuals show.

15 And so we investigated this using the --
16 the aging brain imaging and cognition study that was
17 done at Hopkins that I just mentioned a little while
18 ago. So that's a study in which we recruited people
19 from the Baltimore Metropolitan area just using
20 standard random digit dialect. We just dialed
21 numbers at random. It took a lot of phone calls to
22 get people in.

1 But we got a pretty broadly representative
2 sample. We worked them up, as I mentioned before.
3 And what I did was, then, look at -- after we worked
4 them up, we excluded people with significant health
5 problems, and we looked at the people who were
6 reasonably healthy -- really quite healthy. And we
7 administered a long battery of tests, for which we
8 extracted 32 measures. We put all the measures on
9 the same scale. Because, you know, like IQ scores
10 will be 100, plus or minus 15. Some other scores
11 might be, you know, ten, plus or minus three. So we
12 equated them -- we put them all on the same scale.

13 And then what we did was we looked at each
14 individual person and we looked at each individual's
15 person's best score, and their worse score, and
16 looked at how much of a discrepancy was there
17 between a person's best and worse abilities. These
18 are normal healthy people. What we found was that
19 these maximum different scores range from one and a
20 half to six standard deviations. That is an
21 incredible amount of variability.

22 Sixty-five percent of people produced

1 maximum discrepancy scores that were greater than
2 three standard deviations. Well, what's a standard
3 deviation? A standard deviation is 15 IQ points.
4 That means that for the -- that two-thirds of the
5 people in our sample, their best score was better
6 than their worse score by 45 IQ points, the
7 equivalent on those 32 measures.

8 So I thought oh, my God, this is such
9 incredible variability. I was sort of -- I was,
10 frankly, quite shocked. I thought there must be
11 something wrong with the data. And so we eliminated
12 each person's single highest and single lowest test
13 scores and looked at the sort of not quite maximum
14 difference, the next to maximum difference, and
15 thinking that maybe these scores were being driven
16 by a couple of outliers that didn't make sense.
17 But, in fact, over a quarter of the people still
18 produced -- maximumness would be "D" -- maximum
19 discrepancy values of three or greater.

20 So this is a graph that displays in the
21 black bars all of their test scores, and this shows
22 the number of individual who produced maximum

1 discrepancy in various units of standard deviation.
2 You can see that most people their best and worse
3 scores differed by two and a half to four standard
4 deviations. And there are a few people who were out
5 there in the five and six range.

6 So pattern analysis in terms of the
7 limitations and implication. The applicability of
8 this approach varies with how typical patients are.
9 Typical patients are pretty easy to recognize. But
10 atypical patients are not. Especially when the
11 patients have multiple problems, and they really do.
12 In fact, what SSA is dealing with all the time are
13 people who have multiple conditions. You have back
14 problems and depression. You have heart disease and
15 diabetes, or all three or four. You may have
16 multiple conditions.

17 Then the pattern analysis really kind of
18 goes out the window. It just doesn't work very
19 well. Yet, we are going to be asked, gee, what is
20 the pattern of this person's residual ability; and
21 how well do they link to the disease or the
22 impairment they have to interface with what kinds of

1 jobs they could do.

2 This approach, looking at patterns,
3 probably mirrors the task of linking specific
4 residual functional capacities to job demands more
5 closely than the other methods of inference that
6 we're going to talk about. But it might be useful
7 to think about linking specific residual functional
8 capacities to job demands using methods that have
9 been used in this sort of pattern analytic approach
10 like the cluster analysis that R.J. Hardy talked
11 about the other day.

12 He talked about factor analysis. He
13 talked about the idea of trying to look for clusters
14 of job. We might also look for clusters of residual
15 capacity that go together empirically.

16 So then, finally, level of performance, or
17 deficit measurement, is the third major approach to
18 inference. And this is the approach that is
19 probably more -- used more widely by psychology, but
20 also in many areas of medicine than any other. And
21 you will often hear people -- psychologists talking
22 about deficits, impairments. And the question is

1 really -- how do I make this slide advance?

2 Level of performance is often used to
3 detect deficit or impairment. The question is what
4 is an impairment or deficit? Now, Social Security
5 uses the term "impairment" in a unique way. It is
6 not a way that is used in other areas of medicine.
7 In many ways impairment in SSA is equated with a
8 disease. In medicine we talk about disease, and
9 diseases result in impairment. Social Security
10 sometimes uses the term that way as well.

11 I'm using "impairment" not to refer to a
12 disease, but to refer to a deficit, a loss or an
13 inability to do something that results from a
14 disease, an injury, or a condition.

15 And how should we think about deficits?
16 Should we think about them in terms of comparing to
17 a -- peers? That's certainly what mental
18 retardation is defined by reference to peers.
19 Right?

20 You give an IQ test to someone and compare
21 their performance to a normal sample, and you say
22 that this person's IQ is below 70.

1 Well, what does that mean? It means that
2 that person's IQ is two standard deviations below
3 the mean or lower. That is considered outside the
4 normal range. Most people would say, you know, when
5 you are more than two standard deviations below the
6 mean, that puts you in the lowest two to two and a
7 half percent of the population.

8 On any measure, whether it's intelligence
9 or memory or physical strength or dexterity; on any
10 measure that is -- that follows a normal
11 distribution, if a person's performance is two
12 standard deviations below the mean, that means that
13 they're performing at worse than 97 to 98 percent of
14 the population.

15 Okay. When we talk about that, we usually
16 think of that as a deficient ability compared to
17 normal years. In fact, when you get a laboratory
18 blood test, that's often the threshold that is used
19 to decide whether your laboratory blood test
20 findings are abnormal. It's outside the mean plus
21 or minus two standard deviations.

22 But in many cases, we have to think about

1 impairment in terms of the individual. If -- if one
2 of the people in this room had an -- was involved in
3 an accident and had a brain injury. Given the fact
4 that you are probably a person of above average
5 intelligence, if you have a serious brain injury,
6 you were rendered comatose; you had a brain
7 contusion; you wake up from your comma; you recover
8 physically, and you are tested a year later, in all
9 likelihood most of your test scores would be within
10 the normal range. But they might be a lot lower
11 than they would have been before you had the
12 accident. So you have experienced a decline. You
13 have experienced an impairment, a deficit that might
14 preclude you from going back to the work you did
15 before, even though your residual capacity is well
16 within the normal range in a normative sense.

17 So we have to think about that, because
18 these are two different ways of conceptualizing
19 impairment, but they are both important. They're
20 both meaningful ways of thinking about impairment.

21 So how do we decide when someone's
22 performance on a test of strength or dexterity or

1 memory or problem solving is deficient? Typically,
2 we use cut points or cut offs, and I want you to
3 sort of join me, if you will, on a little thought
4 experiment, because I want you to -- I want you to
5 think about this.

6 Suppose we test the IQ's of one million
7 perfectly healthy people. And by "perfectly
8 healthy," I mean we know that they are healthy. We
9 have a word from God that they're healthy. There is
10 nothing wrong with them. They're physically
11 pristine specimens. They are not depressed. They
12 are not anxious. They sleep well. They get good
13 nutrition. They're normal. These are one million
14 normal people. This is a methodics experiment.

15 Suppose we test them. What would the
16 distribution of their scores look like? People have
17 seen this sort of familiar bell shaped curve. This
18 is called a galcian distribution, or a normal curve,
19 or a bell shaped curve. There are lots of ways that
20 it's -- there are lots of things that it's called.
21 What it refers to, if you were to give a test, an IQ
22 test, or any test, a test of memory, attention,

1 executive functioning, strength, dexterity,
2 coordination, any test of abilities that is
3 distributed in a galcian fashion and you were to
4 stack up each person's score on top of each other
5 you would get a series of dots that stack up, and
6 the tallest column of dots would be in the very
7 center, and the next tallest column would be on each
8 side of that, and so on.

9 So the further you get away from the mean
10 or the middle of the distribution, the fewer people
11 do that. So most people the average range on most
12 of such tests is between 90 and 110. That's because
13 50 percent of the population fall within 90 to 110.
14 This is that two standard deviation below the mean
15 point. That's the second percentile. If it's a
16 test of IQ, a score that is down in this range is
17 the mentally retarded range, because mental
18 retardation is defined by an IQ of less than 70, and
19 a few other criteria.

20 So if you test all the people in a given
21 place, like a state. If we could test everybody in
22 the state of Maryland, every single person, we would

1 presumably have a bell shaped curve and about two
2 and a half percent of the population would fall in
3 this range.

4 Now -- but I have asked you to think
5 about -- to join me on a thought experiment in which
6 these people are perfectly healthy. There aren't
7 mentally retarded people in our sample. There
8 aren't people with significant health problems. So
9 what would the distribution look like? Would it
10 look like this? I don't think so. I mean, that
11 would be extremely unlikely.

12 Much more likely we would see that the
13 distribution would be shifted up some. Now, I don't
14 know how much it would be shifted up. This is a
15 thought experiment. But it would be shifted up, and
16 if it were shifted up ten points, that's a very big
17 shift. That is two-thirds of a standard deviation.

18 That means that the average person in our
19 super healthy sample is smarter than 75 percent of
20 the people in the population as a whole. So it's --
21 it's a big shift. It could be different, but let's
22 suppose for the sake of discussion that that's the

1 size of the shift.

2 If we have a 1 million people, and we
3 shifted it up ten points, we would still have almost
4 5,000 people who scored below 70 on our test. We
5 would still have -- it would still be a small number
6 of people, small fraction of the population that
7 fall in that category. How do we understand those
8 people? These are perfectly healthy people.
9 Presumably, they're all employed.

10 Is this chance? Are these just healthy,
11 but nonspecifically poor specimens? I don't know.
12 I don't have an answer to the question; but there
13 are certain conclusions that I think we can
14 reasonably draw from this thought experiment. That
15 is, that there must be some people who are in the
16 lowest two percent of the distribution who are
17 actually normal, who are not impaired. They don't
18 have disease. They're okay. They are just very
19 limited in their intellectual abilities, or their
20 memory, or their attention, or their strength, or
21 their dexterity. You plug in whatever it is in the
22 characteristic of interest.

1 However, in all likelihood, most of those
2 who are in the lowest two percent are impaired.
3 And, in fact, I think the way to think about it is
4 the further you go down the distribution, the higher
5 the probability the person has an impairment that
6 would impede their ability to work. And that the
7 further down they go, the greater the likelihood of
8 that probability. The greater that probability.

9 So cut off scores are used to help us
10 decide whether performance is abnormal. It's often
11 set at two standard deviations below the mean.
12 That's often where it's set, but not invariably.
13 Lots of people set it at different places. In fact,
14 in the field of psychology there is no consensus
15 about where it should be set.

16 So when you are reviewing medical evidence
17 in a disability case, and the person says -- and the
18 doctor says this person was impaired on a test, you
19 don't really know, unless you have the actual
20 scores, what -- where the person was in the
21 distribution. For many people that will mean two
22 standard deviations above and below the mean. For

1 others, it will mean one standard deviation below
2 the mean; which actually is a low average. That's
3 an IQ of 85. One standard deviation below the mean
4 is an IQ of 85. That's below average.

5 Many, many neuropsychologists will say
6 that if you are scoring more than one deviation
7 below the mean they considered that impaired. They
8 considered that abnormal. Social Security can wind
9 up letting very inconsistent data in the medical
10 records sometimes without even knowing it, unless
11 the data are presented in actual numbers.

12 So if test scores are normally
13 distributed, cut off between one and two standard
14 deviations below the mean, will include from 2.3 to
15 almost 16 percent of the normal healthy individuals
16 who will be called abnormal depending on where you
17 set the cut point. And that's on any single
18 measure.

19 What happens if you give multiple
20 measures. In many examinations, a test battery will
21 include several measures of testing, intelligence,
22 of memory, of executive functioning, of, you know,

1 language ability. When we have multiple measures,
2 the number of normal healthy individuals who produce
3 abnormal scores is bound to increase. It is
4 mathematically -- they're mathematically bound to
5 increase. You will get more abnormal scores.

6 So using multiple measures really
7 complicates the interpretation of abnormal
8 performance when you have a battery of tests. I'm
9 not just saying neuropsychological tests, but also
10 suppose you do a physical examination where you are
11 looking at reaching, crawling, pinching, pushing,
12 pulling. The probability -- if you have multiple
13 tests, the likelihood of an abnormal finding goes up
14 even if the person has no problem with pushing,
15 pulling, pinching. It is just by chance. Sometimes
16 people do poorly on test by chance.

17 Now, we can estimate for a battery that
18 includes varying numbers of measures what the
19 likelihood is that a person will produce a couple of
20 abnormal scores, one or two or more. And in fact,
21 some -- Ingraham and Aiken at the National
22 Institutes of Health a few years ago published a

1 very interesting article in which they said using a
2 mathematical distribution, called the Binomial
3 Distribution, you can predict how many abnormal
4 scores healthy persons will produce on test
5 batteries of various length.

6 And they said using this probability
7 distribution, the likelihood of obtaining two or
8 more impaired -- I put it in quotes, because these
9 are normal healthy people -- impaired scores based
10 on selected cut off criteria and the number of tests
11 administered are shown here. So if your cut off is
12 one standard deviation below the mean, that's a
13 liberal cut off. That's going to include a lot of
14 normal, healthy people. If you have ten tests, in
15 fact, the probability is about 50 percent that
16 someone is going to have two or more impaired
17 scores.

18 If you make the cut off more stringent,
19 two standard deviations below the mean -- that's
20 like an IQ of below 70 -- the likelihood that people
21 will produce two or more scores drops dramatically;
22 it is three percent. You are not as likely to have

1 false/positive errors.

2 If you increase the battery to 30
3 measures, if you are testing pushing, pulling,
4 pinching on both sides of the body, you are looking
5 at upper extremities, lower extremities, it is very
6 easy to get the 30 measures. On a psychological
7 test like the California Verbal Learning Test,
8 alone, that's one test. There are 45 or 50
9 measures. That's one single test, and we give
10 batteries of tests.

11 So the Binomial Distribution predicts that
12 with a battery that includes 30 measures, even using
13 an extremely conservative cut off of two standard
14 deviations below the mean, there is a one out of six
15 chance that a normal, healthy person will produce a
16 couple of abnormal scores.

17 Now, the binominal distribution assumes
18 that all the measures are independent, and they are
19 not; and we know that they are not. So there are
20 other ways of looking at this probability.

21 Last year we published an article, again,
22 using the aging -- brain imaging cognition data, and

1 we did a series of Monte Carlo simulations in which
2 we looked -- we -- first, we took 327 healthy
3 people. We administered cognitive tests, and put
4 all their scores on a single metric. Everything was
5 measured in inches rather than centimeters or yards.
6 All the test scores were measured in the same units.

7 We classified "T" scores as one standard
8 deviation, one and a half, or two standard deviation
9 below the mean. Because "T" score distribution has
10 a mean of 50, and a standard deviation of ten. That
11 means a score of 30 is 20 points below the mean or
12 two standard deviations. That's a very stringent
13 cut off for abnormal. This is a liberal cut off.

14 We also computed what we call the
15 cognitive impairment index, and that's the number of
16 abnormal scores that each person produced. Then we
17 used both unadjusted, and demographically adjusted
18 scores. That is, we looked at raw scores that were
19 transformed; and then we also adjusted them for age
20 and sex, and years of education, and so on. And we
21 estimated how many individuals would produce two or
22 more abnormal scores using three cut offs. That is,

1 one standard deviation, one and a half, two standard
2 deviations. We based it on the binominal
3 distribution. We based it on Monte Carlo simulation
4 using both the unadjusted and adjusted scores.

5 For the 25 -- one of the -- we had 25
6 measures, okay, the Mini Mental, a Grooved Peg
7 Board, Breve (phonetic) Test of Attention, Verbal
8 Fluency; we used a battery of tests. These are the
9 25 measures that we computed. In fact, this is a
10 sample that's, you know, sort of very average.
11 Their IQ is well in the average range. It's a
12 normal sample.

13 So here is what we found. These are --
14 the first column is predicted, and the second column
15 is the observed proportion of people who produced
16 two or more abnormal scores. Using a cut off of
17 40 -- that's one standard deviation, a cut off of
18 one and a half, and a cut off of two standard
19 deviations. When you have 25 measures in the
20 battery, what is the likelihood if you use an
21 extremely conservative cut off -- what is the
22 likelihood that people will produce two or more

1 abnormal scores?

2 Well, in our assessment, it's about
3 11 percent; and 11 -- and then these are the
4 demographically adjusted scores. And so whether you
5 use raw score or you demographically adjust, you
6 still get the same kind of story. The story is that
7 the more stringent the cut off, the fewer normal,
8 healthy people produce abnormal scores. But even if
9 you use very stringent cut off, a lot of normal
10 people will score in the abnormal range.

11 Okay. So then we said, well, let's look
12 at the number of abnormal scores that each
13 individual person produced, and see what accounts
14 for that. Is that just occurring by chance? What
15 we found was no, it is not occurring by chance.

16 In fact, the correlation between -- the
17 correlation between how many abnormal scores they
18 produce and various characteristics are shown in
19 this table. You can see that there is a very strong
20 relationship, older people tend to produce more
21 abnormal scores. Likewise, people whose premorbid
22 or estimated intelligence produce more abnormal

1 scores. There were also relationships with other
2 demographic characteristics, but these were clearly
3 the strongest.

4 So this study shows that neurologically
5 normal adults produce abnormal scores. There is
6 pretty much incontrovertible evidence. We published
7 this. Now, many people, even in the last year or
8 two, have published other findings supporting this.
9 It's not due purely to chance. How likely it is
10 that people will produce abnormal scores varies
11 systematically its demographic characteristics? If
12 you adjust to the characteristics, you eliminate
13 that relationship, but you don't eliminate the fact
14 that they produce abnormal scores.

15 So these findings underscore the
16 distinction between an abnormal test performance and
17 impaired functioning. Just because someone produces
18 an abnormal finding on an exam doesn't mean they're
19 impaired. It might -- the more they produce, the
20 greater the likelihood that they're impaired.

21 But I think sometimes there is this sort
22 of almost reflux of notion. We did an exam, there

1 is some abnormal scores; therefore, the person
2 cannot do this kind of job. That's an empirical
3 question.

4 Returning to the question of what cut off
5 we should use, stringent cut offs decrease test
6 sensitivity. The more stringently we set the cut
7 off, the fewer people who actually have an illness
8 will be captured by it. We will miss them. We
9 don't want to do that.

10 But if we use more liberal cut offs, we
11 decrease specificity. The more liberally we set the
12 cut off, the greater the likelihood that people who
13 are not ill will wind up being identified as
14 abnormal. And so -- as in most endeavors, we have
15 to exercise judgment. And it's important -- I
16 wanted to bring this up for SSA, for the Panel;
17 because I think that it's important to appreciate
18 these issues, even if there may not be a clear
19 solution to them.

20 So -- but remember we also talked about
21 the fact that we might be -- that your performance
22 is within the normal range; but it's a decline from

1 where you were before you had your -- you know,
2 cardiopulmonary arrest, your TBI, your stroke. And
3 how do we understand that? How do we figure out if
4 someone has an impairment when their performance is
5 in the normal range? That's a real difficult one
6 for SSI to contend with, for clinicians to contend
7 with.

8 Well, one way is to try and figure out
9 what the person's preaccident, preillness abilities
10 were; and if we do know that, if we know what that
11 is, it's not that hard to figure out whether they
12 have experienced a decline. We can do that. That's
13 something we can manage. But we rarely know it. So
14 we usually have to estimate it. And how do we do
15 that research? And the field of psychology is
16 focused on estimating preaccident or premorbid IQ.
17 And there are a couple of ways of doing that. One
18 is that we know that IQ performance, cognitive
19 performance in general -- not just IQ, but all kinds
20 of performance -- correlate with demographic
21 characteristics, age, education, and so on.

22 So you can use -- a number of people have

1 developed equations that predict a person's
2 performance and testing, Barona -- Barona and
3 Chastain did one of the most widely used ones. And
4 they developed a formula to estimate IQ based on the
5 standardization sample; and they found that the
6 error of the estimate was about 12 points.

7 And so the 95 percent competent interval
8 is twice that. What that means is using this
9 formula -- if the formula estimates that the
10 individual's IQ is 100, you can be 95 percent
11 competent that it's somewhere actually between 76
12 and 124. Thank you very much. That's not a very
13 accurate kind of estimate. And that's the problem
14 with this approach. It's great for group data, but
15 at the individual level, it's horrible.

16 And also -- so the next is people have
17 used word reading tests, and they're considerably
18 more accurate, but there are very important caveats.
19 They don't always work for people with limited
20 education; certainly not for people who use English
21 as a second language, aphasia, reading disorders.
22 There are lots of caveats, but it actually turns out

1 to be a pretty darn good way to estimate premorbid
2 ability.

3 Word reading tests work this way. You
4 have people read a list of words that increase in
5 complexity and are irregularly spelled. Words like
6 debt, D-E-B-T; or aisle, A-I-S-L-E. These are words
7 you cannot sound out, so you have to know them. You
8 just have the person read them aloud. You don't
9 have to define them. You don't have to give a
10 definition. You just to have to be able to read
11 them out loud.

12 It turns out that vocabulary correlates
13 very, very highly with overall intellectual
14 functioning or GB. And that word reading ability is
15 really not very affected by brain disease or brain
16 injury in most cases. And so you put those two
17 things together and ability that is really pretty
18 robust, unaffected by disease with something that
19 correlates well with intelligence, and that's a way
20 to estimate a person's premorbid functioning.

21 And the test -- the test we used in that
22 study is the national adult reading test. It's a

1 test of word reading ability, and we gave it to our
2 participants at baseline. Then 110 of them came
3 back in at five years later, and we gave them the
4 same test. That's how their performance correlated.
5 That's pretty amazing. I mean, in behavioral
6 science, you don't usually see correlations like
7 that. This test is extraordinary reliable.

8 You can -- the reliability does not impose
9 limits on validity. The validity is not quite as
10 good, but it is pretty darn good. Here is the
11 scatter plot showing the relationship between the
12 NART estimated IQ, and the actual IQ performance on
13 a test -- on the Wechsler scale done at the same
14 time. You can see that the correlation is not as
15 tight as the other one -- that one; but it's still
16 pretty good.

17 So the question is how well does
18 performance on this test predict cognitive abilities
19 other than IQ? Because a lot of times what we're
20 looking at after an injury is that a person shows
21 impairment or retention, or memory, or something
22 like that. So the question is whether or not word

1 reading will predict that. So we compare a person's
2 word reading to their performance on cognitive
3 domains other than intelligence.

4 And I don't expect you to be able to read
5 this slide; but I just wanted to show you that the
6 correlation between the NART and IQ is really high,
7 as it should be, as it is in lots of studies.
8 However, the correlation between the NART Word
9 Reading Test, and other neuropsychological abilities
10 all significantly lower. Every single one of these
11 was significantly lower than IQ. So we can estimate
12 premorbid IQ, but it's not -- we're not as good at
13 estimating abilities in other domains.

14 So SSA -- clinicians have to estimate
15 premorbid abilities. When you see a patient -- when
16 a patient walks into your office, you have to make
17 assumptions about what they were like before the
18 accident. Whether they were average, above average,
19 below average, stronger than most people, weaker or
20 less dextrous than most people, whatever. You make
21 some assumptions, judging them by their history,
22 what you know about them.

1 So my argument is that if you don't do it
2 explicitly, then you do it implicitly. Because even
3 if you say, no, I'm not making any assumptions, well
4 the assumption -- that assumption is that the person
5 mirrors the population as a whole. So if you say,
6 I'm not assuming this person is smarter or less
7 smart. Well, then, the assumption you are making is
8 that they're not smarter or less smarter; it is that
9 they're, in fact, average.

10 So either you do it explicitly, or you do
11 it implicitly; but even the best method yields just
12 ballpark estimates. We're better at getting
13 estimated premorbid IQ than other ability. So that
14 raises the question of well, then how well does IQ
15 predict performance in these other areas if we know
16 what a person's actual IQ is? And we compared
17 people with below level IQ, range of 83; average IQ
18 with a mean of 101; and above average IQ. In fact
19 their IQ was really superior. That's above the 92
20 percentile. So that's in the superior range, 120
21 and higher.

22 Here is what they did on other

1 neuropsychological measures, like the Grooved Peg
2 Board and the Trial Making Test, and all these other
3 tests of memory and language, and so forth. The
4 average -- people on average are exactly where you
5 expect them to be. The people with below average
6 intelligence on these other cognitive measures are
7 generally in the sort of low end of average to low
8 average range.

9 Look at the people whose IQs were in the
10 vicinity of 120. These are extremely smart people.
11 In fact, we had one person with an IQ of 151. It's
12 a very high IQ group. Yet, their is average scores
13 in these other domains that are ranging from like
14 102 to 108 on average. So I think sometimes we have
15 the idea if a person is really smart that they will
16 do well in all other areas.

17 The fact is, that's not the case. People
18 who are really smart are often just average in these
19 other areas. So correlation between intelligence
20 and other cognitive abilities are stronger below
21 than above average -- above IQ scores of 110.

22 The take-home message is that it is less

1 likely that smart people will do well on other tests
2 than it is that dull people will do poorly. It's
3 important to appreciate if someone is coming in, and
4 they're a person of below average ability to begin
5 with. You are much more likely to find out in
6 normal scores, even if they are perfectly normal,
7 you know, they are constitutional. Their innate
8 endowment is more limited.

9 So there are some real important
10 limitations and implications of this approach.
11 First of all, there is no one to one relationship
12 between performance and ability. We infer ability
13 from performance. Those can become uncoupled. It
14 can be uncoupled by lots of factors, including poor
15 effort, someone doesn't want to do well. If you
16 give someone a test of visual memory and they shut
17 their eyes or they're blind, they are going to do
18 poorly on it. It has nothing to with their memory,
19 per se.

20 Adding tests can increase false positive
21 rates, and setting more stringent cut offs can
22 increase misses. And word reading tests predict

1 premorbid IQ better than other abilities. And
2 raising cut-off scores for people of above average
3 IQ can compound the problems. So these are all some
4 of the important limitations that we gather from
5 this, that characterize this approach to inference.

6 With respect to Social Security, it's
7 important to keep in mind that many, if not most,
8 successful job incumbents likely fall short of
9 meeting one or more job demands to the extent -- in
10 the same way we can assess memory, attention, and
11 concentration, and strength and dexterity, you can
12 think about job demands as a test of sorts.

13 To the extent that job demands are a test
14 and that people do them to varying degrees, certain
15 of the people I work with meet their job
16 requirements to varying degrees. Sometimes people
17 are really good to markedly exceed my expectations,
18 others meet them, and there are some who are really
19 not.

20 So in all likelihood, there are lots of
21 people who are incumbents who fall short of meeting
22 one or more demands. And what cut off to the

1 distribution of an ability shown by successful job
2 incumbents should we used to define sufficient RFC
3 for someone to do that job? That's, in my mind, an
4 incredibly important and difficult issue that we
5 need to -- and that SSA needs to grapple with,
6 because it will directly affect the percentage of
7 applicants who are found disabled.

8 If we say that in order to do a job
9 someone has to be able to perform it at an average
10 level, like someone who is average at that job, in
11 the middle 50 percent of the population, if this
12 applicant needs to be able to do a plumbing job as
13 well as the middle 50 percent of plumbers, that's a
14 much more stringent criteria, and there is a much
15 higher likelihood that the person will be found
16 disabled than if we say, "this person needs to be
17 able to perform at the level of below tenth
18 percentile of plumbers." If you can perform at the
19 lowest tenth percentile you are doing it as well or
20 better than one out of ten plumbers. Obviously,
21 that will dramatically affect how many applicants
22 get identified as disabled.

1 And factors other than impairment, like
2 effort, can uncouple the linkage between performance
3 and ability. This is another sort of concurrent
4 theme that, you know, threads through this sort of
5 consideration of assessment.

6 Then finally, just to comment on this
7 notice of work demands and residual functional
8 capacity, and deficit versus impairment. Some
9 people differentiate between impairment and deficit
10 in the sense that some people will think of an
11 impairment as any decline relative to your own
12 pre-accident ability, or, you know, any loss of
13 ability due a disease or condition -- that's an
14 impairment -- even if you are within the normal
15 range.

16 Others have talked about the notion of
17 deficit as a more absolute threshold, can you do
18 something? A deficit, you know, means that you
19 can -- if you have it, then you are not able to do
20 that thing. It's a raw score. So impairments will
21 often adjust.

22 When we think about impairments we will

1 take a person's age and education into
2 consideration. This person is performing below what
3 they should be, given who they probably -- what they
4 were probably like before the accident. In a
5 deficit measurement approach, it has been argued
6 that you shouldn't take age into consideration. And
7 here is a perfect example.

8 This person's processing speed is above
9 average. They are the 75th percentile. And we
10 decide that for an airline pilot you need to have
11 perform -- your processing speed should be at the 75
12 percentile of the population, better than average;
13 okay.

14 Now, processing speed is exquisitely
15 sensitive to age in normal healthy people. One of
16 the problems of getting older, when you get to be my
17 age, you can feel it. My speed of processing is not
18 what it was when I was 20 or 25 years old. But
19 probably compared to other people in their 50's, I'm
20 holding my own.

21 However, the question is a person who is
22 95 years old might be at the 75th percentile

1 relative to other 95 year olds on a processing
2 speed, but you won't want them piloting the airplane
3 because the distribution of scores in that 95 year
4 old is so much slower.

5 In fact, in our normal aging study,
6 processing speed between the ages of 20 and 85 drops
7 two standard deviations. The average 85 year old is
8 at the second percentile of the average -- of
9 average 25 year olds. Now, that's disturbing news I
10 know. We're going there. We're going there.

11 So if you extrapolate out to 95, it's a --
12 processing speed is exquisitely age sensitive. So
13 in some cases the take-home message is whether we
14 consider things like age, and education, and other
15 characteristics when we evaluate someone is
16 critically important. And it may be very important
17 with respect the issue of transferability of skills.
18 And it may be that we want to use absolute criteria
19 rather than taking the -- rather than adjusting
20 scores for age or education, something like that
21 when we evaluate strength and dexterity and memory,
22 and so forth. So that's it.

1 Couple of pictures from Baltimore, and
2 Johns Hopkins. I don't know if people have never
3 been to Hopkins. In the old cortical they have this
4 lovely statute. This is right through that door.
5 This is the old front entrance to the hospital.
6 Here are some scenes from the Inner Harbor. Thank
7 you.

8 DR. BARROS-BAILEY: Thanks, Dave. We're
9 at a little after 11:30. We might have time for a
10 question or two if anybody wants to ask a question
11 from the Panel. Gunnar.

12 DR. ANDERSSON: For some physical and
13 organ performance level we do have fairly solid
14 age-related data. So how would you incorporate that
15 in your design?

16 DR. SCHRETLEN: That's a really good
17 question. The point is we have good solid
18 age-related data for many human characteristics,
19 physical. We also have them for lots of cognitive
20 abilities. It's a really important question,
21 because in some cases it's incredibly important to
22 understand how a person is performing compared to

1 age peers.

2 But for other matters -- like, for
3 instance, it's very important to understand how a
4 person is performing relative to others of the same
5 age and maybe educational background and sex if you
6 are trying to diagnoses the presence of a disease.
7 That affects that ability. That strength or
8 whatever.

9 It's important to know how this person
10 compares to other -- like if you are going to
11 have -- if you want to test strength in someone who
12 is 75 years old, it's important to compare to other
13 75 year olds, not other 45 year olds, obviously, if
14 the question is whether or not they have suffered
15 some loss of strength due to disease. Do they have
16 a disease that has affected their ability and their
17 strength?

18 But for other matters it may not matter
19 what their age is. If the question is, can they do
20 this job? Can they lift this bag of cement? It
21 doesn't matter if they're stronger than the average
22 95 year old. They shouldn't be lifting that bag of

1 cement. They can't do it safely. So the question
2 is, how should we use age languor data? It depends
3 on the purpose that we are putting the measurement
4 too.

5 DR. BARROS-BAILEY: Bob.

6 DR. FRASER: Just a point. We tested --
7 we tested about 78 individuals with MS coming into
8 our vocational rehabilitation program. And, you
9 know, in terms of, say, verbal IQ, they're above
10 average. Let's say approximately 110. Although,
11 they may have loss some ground due to reasoning.
12 But their memory measures were about 90, 92, you
13 know.

14 A neuropsychologist might say, well,
15 that's within an average range. In fact, it's
16 within a low average range. But for example, five
17 of these people were nurses. You got a network
18 administrator. In this case even average ain't good
19 enough, you know. So that's kind of an issue.

20 You send them off for psychological
21 testing, and the experts looking at it goes, oh,
22 those are average measures. The other thing you

1 have to remember, as a nurse on a ward if you are in
2 a low to average range of memory functioning, that
3 is just not good.

4 DR. SCHRETLEN: Absolutely. In fact, that
5 is critically important. And I think it's an
6 argument for the importance of looking at successful
7 job incumbents. We don't really know. It's an
8 empirical question. How much strength, you know;
9 how much dexterity; crawling, lifting, pushing,
10 pulling; attending, remembering, comprehending. You
11 know, all these characteristics that we think are
12 important we need to -- in my opinion, we need to
13 look at the distribution of people who are actually
14 doing the jobs to see, because we don't really know.
15 It might be that at 92 is utterly inadequate for
16 that job, but it might not be. We just don't know.

17 It might be that the combination, having a
18 memory score of 92 and depression is what makes it
19 impossible for the person to do. Because it might
20 be that there are some people who do that job who
21 have scores in the memory of whatever it is, 92, and
22 they're able to do the job, but they're pain free.

1 And that is the ones who have -- but there is no one
2 who has the 92 and has, you know, weakness -- you
3 know, motor weakness, or you know, optic
4 retinopathy.

5 DR. BARROS-BAILEY: Okay. Thank you,
6 Dave, for the presentation.

7 We are now at 1:15. We have lunch
8 scheduled until 1:00 o'clock, and we will see you
9 all back at 1:00 o'clock. We will start promptly at
10 that time. Thank you.

11 (Whereupon, a lunch recess was taken and
12 the proceedings subsequently reconvened.)

13 DR. BARROS-BAILEY: Okay. So back on the
14 record now.

15 As an introduction to our discussion, I
16 would like to review the chronological timeline that
17 is behind the road map in our three ring binders.
18 It is the colored form. If you are on the executive
19 subcommittee, you can see it too.

20 I mentioned this morning that one of the
21 helpful things with the road map was that it helps
22 us organize our work a little better. And so in

1 terms of trying to, at least through this fiscal
2 year, add some structure to what we're doing, we put
3 together kind of a timeline of our activities over
4 the next few months.

5 Has everybody had a chance to take a look
6 at that form?

7 Okay. And I know that there might be some
8 changes to it as we go along in terms of the
9 subcommittee reports. As people go along, you might
10 talk about that. As you see in the timeline at this
11 point we have a telephone conference scheduled for
12 July 14th, and then we will also talk
13 about August 20th. We have -- not on this timeline,
14 but on the executive committee timeline -- a
15 deliverable in terms of the recommendations for the
16 subcommittees for the 31st of August.

17 We're thinking of maybe flipping the date
18 of August 20th and August 31st to see if we need
19 to at that point move to have the reports from the
20 subcommittee -- final reports on August 20th for a
21 telephone conference on August 31st vote by the
22 Panel. So maybe at this point we will talk about

1 that a little bit, and have a little bit of
2 discussion. So that's what we were looking like we
3 might want to consider.

4 I will open it up for discussion in terms
5 of any thoughts on that process. Would anybody like
6 to --

7 MS. KARMAN: Yes, I just want to clarify,
8 Mary, that what we're looking for, then, is a draft
9 from each of the subcommittees by that date, so that
10 we would have an opportunity, as a Panel, to
11 deliberate on what our final recommendations are
12 going to be. So that would be the draft from each
13 of the subcommittees, not the whole overall report
14 written by that point?

15 DR. BARROS-BAILEY: Correct. So
16 August 20th would be the draft in terms of the
17 recommendations. For the subcommittees we're going
18 to have some preliminaries today, and as we go
19 through the process over the next couple of months,
20 refining those recommendations, having a draft of
21 those recommendations coming to us on August 20th,
22 so that August 31st we can meet as a Panel by

1 telephone conference and hopefully vote on some of
2 the -- those recommendations.

3 DR. SCHRETLEN: You know, I just don't
4 know what we can say. I will definitely meet the
5 timeline, but I definitely would prefer the three
6 months.

7 DR. BARROS-BAILEY: We appreciate that,
8 David.

9 Okay. Then what I will do is ask Debra to
10 make sure -- in terms of the dates, make sure that
11 they're clear for the Panel; and we will modify this
12 timeline as we go through that process.

13 Then, we have the last Panel face-to-face
14 meeting set for the year on September 15th through
15 the 18th. I know that the place might not be
16 Denver. It says Denver on there, and that's being
17 worked out at this time.

18 So this is the timeline that -- for the
19 whole Panel that I hope is helpful. As I said, it
20 will change over time. You will get updates as we
21 go through the process. At least it helps put some
22 markers on our calendar as we go through.

1 So there are a couple of other things that
2 I would like to draw your attention to. One of them
3 was the letter. You should all have a copy of it.
4 It's dated May 26th, and it's a -- kind of a status
5 letter that went to Deputy Commissioner David Rust
6 in terms of our activities to date. So just kind of
7 an interim report to allow Social Security to know
8 what we have been doing until the end of May.

9 Are there any questions at this point in
10 terms of either the timeline or the letter? Okay.
11 So at this point I would like to have our first
12 report by the Chair of the User Needs and Relation
13 Subcommittee. Sylvia.

14 MS. KARMAN: Thank you.

15 Our subcommittee, User Needs and Relations
16 Subcommittee, met yesterday, met earlier this month
17 as well. And some of the things that I just wanted
18 to cover of what our main goals of the subcommittee
19 are, so that people in the audience can know a
20 little about what the Panel had intended for that
21 subcommittee.

22 What we're focused on really is the

1 outreach. That will be for informing us about the
2 content of the information -- Occupational
3 Information System. And so -- as well as putting
4 information out into the -- among our users, both
5 internally within SSA, and externally. So it's
6 about that kind of outreach where we are both
7 getting information out about what the Panel is
8 working on, the budget is involving, back to those
9 things, as well as obtaining information that's
10 relevant for, you know, all the subcommittees and
11 the Panel's work on projects as well.

12 Also, accountability, so that the Panel is
13 able to help Social Security as we move forward with
14 our project. To be accountable to the users. To be
15 accountable to those who are interested in what the
16 Agency is taking on. And also transparency, so that
17 it is possible for others to see exactly what we're
18 working on, where we're going; and as best as we can
19 articulate that, make that clear to people.

20 So what we have discussed is some
21 strategies around -- to accomplish those things.
22 And one of the larger strategies -- one of the

1 bigger efforts that we have going on right now that
2 we have begun is Social Security's Occupational
3 Information Development team that is in the Office
4 of Program Development and Research. That's the
5 team that I am leading.

6 We are working with our internal Social
7 Security work group as well to conduct user needs
8 analyses throughout the entire research and
9 development of the project. So in other words, as
10 the Agency and the Panel is turning its attention to
11 the content model right now, or you know, as we move
12 on, perhaps, the instruments, we will be conducting
13 user need analyses in the form of either, you know,
14 interviews, focus groups, surveys, whatever method
15 seems to be the most useful or most effective for --
16 given whatever point in the process we're working
17 on.

18 So right now since we're turning our
19 attention to content model, we have been out
20 developing a method that has the staff actually
21 interviewing and conducting focus groups with
22 adjudicators, both the DDS and at the ODAR level, at

1 the hearings level.

2 We're also going to be -- we also involve
3 reviewers, program staff. So the number of people
4 in different position types throughout Social
5 Security who are the prime users, people have an
6 interest in.

7 We have conducted those reviews starting
8 in Atlanta. At our last meeting we sent staff to
9 work with the Center for Disability, in the Regional
10 Office in Atlanta, as well as the quality -- Office
11 of Quality Performance Group there. And we have the
12 results of that. The highlights of that are in your
13 binder.

14 So you know, we got some pretty good
15 results from that. Also learned a little bit about
16 how we might want to conduct those kind of things;
17 and we made some changes.

18 I just spoke with some of the folks who
19 went over this morning to the Chicago Regional
20 Office, and we thanked the Chicago Regional Office
21 very much for their very prompt and -- you know,
22 very helpful outreach for us to help us get this

1 done on such short notice.

2 And so what we understand, that the
3 interviews and the focus groups went really well
4 this morning, and we were able to obtain a lot of
5 really good information. So what will be incumbent,
6 then, upon your subcommittee is to provide that
7 information to these other subcommittees. Funnel
8 the information, for example, with regard to mental
9 demands to David and his subcommittee. And of
10 course, the physical issues to Debra Lechner. So
11 anything else that we can infer from the outcomes
12 that we think other people need to see; and of
13 course, we will be preparing a report for that.

14 So that's one effort that we have
15 underway, and that is really part of a larger effort
16 of how do we stay in touch with what users are
17 concerned about? Who are our users? You know.
18 Right now we're defining them as, you know, the
19 different positions I have just mentioned within
20 Social Security. There are also individuals
21 external to Social Security who are involved in our
22 process. Some of who are very interested in our

1 outcomes, because they affect -- affect them as
2 well. So we have a wide range of users, and our
3 workgroup is looking at how to get our messages out
4 to these individuals and how to get their
5 information that they have to give us.

6 And some of the ways that we have talked
7 about doing that is, you know, having periodic
8 updates through the OIDAP e-mail process. We have a
9 list serve that goes out and people can sign up for
10 that. And also posting information that has been
11 vetted within the Agency and has been given to the
12 Panel, putting that on our web site externally, so
13 that people are just simply aware of what's going
14 on. You don't literally, physically need to show up
15 at a meeting to understand what's happening, or at
16 least to have a snap shot of what's going on.

17 And also to produce, I think, fact sheets,
18 other types of documentation that the Panel members
19 can be using, staff can be using when people send in
20 questions. That other people within Social Security
21 might want to use. You know, so there is another
22 method that we're thinking might be useful.

1 Perhaps, having not only fact sheets, but, perhaps,
2 Power Point presentations or Power Point slides
3 available, so that when Panel members are asked to
4 speak at whatever professional conferences that they
5 have the material already in front of them that they
6 can pull up. And if they have questions about it or
7 they think something, you know, needs to look
8 different, they can give us a call or we can discuss
9 it whatever.

10 That kind of helps all of us to know -- to
11 have a little more comfort around what do we do when
12 we represent ourselves or ourselves as Panel members
13 in a public setting. I have already received
14 several questions from some of the Panel members
15 about this, so we thought maybe we would bring this
16 up that in terms of our representation publicly
17 that, you know, as long as we are, of course,
18 stating -- you know, when we are presenting as Panel
19 members that we first check with the chair -- in
20 this case it's the interim chair; and you know,
21 discuss that.

22 And then at the presentation itself that

1 we're making it clear that -- when we are, in fact,
2 speaking as Panel members and when we are not. That
3 we are simply wearing the -- whatever hat of our own
4 profession. That sometimes gets into the detail. I
5 think that's really where some of the questions have
6 come from that I have received. I don't know about
7 Mary. You know, people know that, you know, as a
8 Panel member they're going to stick to the facts,
9 and whatever information has already been made
10 public. So you know, it's not so much -- the facts
11 and the issues about well, you know, we know they're
12 these subcommittees, and they're focused on these
13 issues, you know, that's all factual.

14 The question comes up as to what happens
15 when, after presenting all that, you get a lot of
16 questions. And the thing of it is well, you know,
17 when you say, you know, these are interesting points
18 and things that -- you know, that might be of value
19 to the committee or to the Panel as a part of the
20 committee, you know, you can suggest that, perhaps,
21 they submit their questions to the committee or to
22 the Panel.

1 If you -- you could also say that, in
2 fact, it has been on the record. We have
3 deliberated about it. You can say, well, that has
4 been discussed. We are not finished deliberating.
5 No decision yet. Things like that.

6 So I think that as long as people are
7 clear when we're speaking as Panel members, and when
8 we're speaking as not Panel members that's helpful.
9 And the other thing we were thinking is that maybe
10 if the other Panel members feel that this is
11 valuable, we could also serve as a clearing house
12 for information that comes in to the Panel. And
13 when things are requested or information is
14 requested, you know, perhaps we can, you know, find
15 a way to deal with that. That becomes something for
16 which we might need a process. We will, you know,
17 work with you all on that.

18 So really you just need to let us know,
19 kind of. I think that's where we were kind of
20 yesterday, thinking about making sure we understand
21 what everybody's needs are on the Panel as well as
22 what the user's needs are. And as we move along,

1 try to set up the kind of strategies or process
2 that, you know, is the least onerous that would help
3 people on the Panel, help the Panel members as well
4 as make sure the users feel like they can really --
5 are being heard.

6 And also, I think we talked a little bit
7 about, you know -- I have talked about the guidance.
8 Let's see. Oh, we came up with some questions that
9 we thought we would ask the Panel about. And one of
10 them has to do with, you know, are there user needs
11 or information from the users that you think you
12 would really -- that you think you are going to be
13 needing. Not just for this recommendation coming
14 up, but as you look forward, if there is information
15 that you can solicit, or that my team back in Social
16 Security could develop a way to survey people, for
17 example.

18 You know, on our mental subcommittee,
19 David, yesterday we were talking about possibly
20 surveying individuals with regard to the mental
21 demand dimensions -- or the mental dimensions for
22 the person side. You know, so there are maybe some

1 needs that are coming up within the subcommittees,
2 you know. So if there are types of information that
3 you are looking for, you know, kind of feedback that
4 you want -- I know we're trying to set up, you know,
5 visits at the DDS, visits in ODAR for members.
6 That's, you know, another thing that we will be
7 happy to take care of.

8 And also, I guess we also want to talk a
9 little bit, I think -- or get your input about how
10 we want to make sure we're channeling or funneling
11 the information that we get. Either through
12 presentations, like the two we had this morning or
13 from our user needs interviews or focus groups, any
14 of the input that we receive from users either
15 externally or internally, you know, I would like to
16 make sure that our subcommittee and my team in
17 Social Security is making that information available
18 in a way that is going to be helpful to you all, and
19 is also something that would help us with our
20 upcoming report.

21 And -- so, you know, there are ways in
22 which we can -- may want to talk about how do we

1 want to present that? How do we want to make sure
2 that we have covered everyone's suggestions to us?

3 I mean, one of the things we do, you know,
4 is -- back on our team is we make a list of, you
5 know, all the action items. All the things that
6 people have asked for. All the things that people
7 have mentioned. And then indicate, you know, what
8 the status is of that. You know what have we done
9 with that? How do we resolve it? You know, do we
10 want that to be reflected that way in the paper that
11 we are going to produce.

12 You know, is subcommittees going to want
13 to address the user concerns that are specific to
14 their topic within, you know, your section? You
15 know, how did you -- how can we help you with that?
16 You know, how did we have -- how are we thinking
17 about doing that?

18 And also, the other thing we talked about
19 yesterday was the extent to which we are keeping in
20 touch with the professional organizations, such as
21 the two that presented this morning, representatives
22 from those organizations.

1 A number of us might -- you know, we have
2 got a long list of organizations that we think are
3 going to be interested in what we're doing. It's
4 not an exhaustive list. I'm sure there is always
5 going to be other groups that we will come to learn
6 about, or make sure that we need to include.

7 But as we are keeping track of who might
8 need to -- who might need information from us or who
9 might be interested in what we're doing, might be
10 useful for us to think in terms of, you know, do we
11 want to talk about -- who might from our Panel --
12 might want to be attending certain conferences,
13 because they may have a professional link with that
14 particular organization. And you know, it may make
15 sense for us to have some conversation as we move
16 along over the next few months about representation
17 at different organizational conferences, and things
18 like that, and how you guys want to handle that. Do
19 you have some ideas about organizations that you
20 know that we may not know about that we might want
21 to make sure we're tapped into?

22 So what we need to do really is give you

1 guys a list of who we have already identified and
2 you can scan that list and then say yeah, you need
3 to add other groups on it or whatever; and oh, by
4 the way, I have a habit of -- you know, I usually go
5 to this particular conference, you know, once a
6 year, twice a year, or whatever. And you know, I
7 would be glad to, you know, reach out to them and
8 have a conversation about -- you know, making sure
9 we're capturing what their concerns are.

10 So I don't know if you guys have some
11 thoughts for us or anything. I don't know the -- if
12 the other subcommittee members might want to offer
13 some input.

14 MS. SHOR: I think Sylvia has done a
15 terrific job of summarizing this. And I do think,
16 Sylvia, probably what would help spark some ideas
17 with Panel members if you get the list out of
18 organizations that we have identified, then you can
19 be thinking about what's missing and just add that.

20 DR. BARROS-BAILEY: And I think it's also
21 helpful to me to have -- sometimes when I have some
22 concerns about my responsibilities on FACA to touch

1 base with Debra Tidwell-Peters as a resource for
2 identifying that. So if we run into some areas as
3 people are asking us for information to do things, I
4 think always touching base with Debra is helpful --
5 always been helpful to me.

6 Thank you, Sylvia.

7 Next we're going to have a report from the
8 Physical Demands Subcommittee. Deborah.

9 MS. LECHNER: Well, I want to just share
10 some preliminary thoughts -- some preliminary
11 thoughts from the Physical Demands Subcommittee, and
12 Dr. Andersson, Dr. Barros-Bailey, and Sylvia Karman
13 and I, have had a few discussions, and we have
14 looked at some literature, and just wanted to share
15 some preliminary thoughts. Just -- and preliminary
16 is the operative word here. Because, you know, it's
17 just things that we have been sort of toying with
18 and thinking about, and haven't reached any strong
19 conclusions one way or the other. So I welcome
20 input and feedback.

21 What we have done so far is utilize
22 feedback from end users dating back to 2002 when we

1 did a preliminary reliability and feasibility study,
2 developing a list of physical job demands at the
3 Department of Labor. At that time we went out to
4 the American Physical Therapy Association, IARP,
5 AOTA, and received feedback on the things that they
6 would like to see revised or changed in the current
7 DOT physical demands classification system; and then
8 did a little bit of a reliability study. So we have
9 taken those requests from end users then.

10 Then, also listening to the different
11 presentations that we have had as part of the panels
12 that we have held so far. Then, just internally had
13 some discussions and considerations of our own. We
14 have started the exercise of developing a taxonomy
15 comparison and Excel spread sheet, quite simply.
16 And that's just in its preliminary stages. So I
17 didn't really feel like we had enough data in that
18 spread sheet -- it's not complete enough to share
19 yet; but we will be sharing that in the future.

20 In that taxonomy -- physical demands
21 taxonomy comparison, we looked at what I call the
22 little more complete or full taxonomies, like the

1 DOT, the PAQ, the CMQ. When I mean "full," I mean,
2 they are taxonomies that take into consideration
3 other things besides physical demands.

4 And then we were also looking at some of
5 the ergonomic taxonomies that have been used and
6 published in the literature that focused primarily
7 on the musculoskeletal system. I will show you some
8 examples of those as we kind of go through this.
9 We're examining that literature. That literature
10 review is in progress.

11 It seems like everytime I think we have
12 got just about everything, I will turn up another
13 review article and see a lot more information. So I
14 want to show you these things, and then just share
15 with you some very preliminary thoughts that have
16 gone through our heads as we debated this whole
17 issue.

18 We have identified some categories -- or I
19 think have been referred to as dimensions of
20 physical demands, general categories; manual
21 materials handling, the position tolerance type
22 demands or static postures; mobility movement,

1 repetitive movement; hand function, balance and
2 coordination. Just giving you some examples on the
3 right-hand side of the slide as to what we will
4 include, or conceptually what we will include in
5 each of those category.

6 Then general categories of sensory
7 demands, as well as general categories of
8 environmental demands. I won't read them out loud
9 to you.

10 The other interesting piece of this not
11 only the taxonomy, but what are going to be the
12 parameters of measurement? So for example, the
13 manual materials handling, of course, you would
14 document the amount of force or weight handled; but
15 you have got the size of the object, whether the
16 object has handles or it doesn't; whether it's a
17 bilateral or unilateral activity. Then some things
18 I did leave off that list is the distance over which
19 that weight or force is handled.

20 Then for postural activities that can be
21 performed while you are doing manual materials
22 handling, or while you are doing other activities.

1 It's historically been measured in duration in
2 hours, minutes, or percent of day -- as a percent of
3 day. And then there is this whole issue of
4 continuous duration versus intermittent duration
5 throughout the day.

6 Then there is the issue of frequent
7 repetitions, or frequency, repetitions per unit of
8 time, cycle time. The intensity, how much -- if you
9 are performing stooping, as it's defined in the
10 Dictionary of Occupational Titles, which just means
11 bending over at the waist; how much stooping are you
12 doing? It is just slightly? Is it a much more
13 extreme position?

14 Some of the ergonomic taxonomies go into a
15 lot of detail about the exact range of motion that's
16 required for a specific position. And then other
17 systems rate it in a general category like normal,
18 moderate, severe; or some of them use the numerical
19 rating systems.

20 Then there is this whole issue of whether
21 this person is in a balanced positioned, or
22 imbalanced, standing on one leg. Is it symmetrical

1 or asymmetrical, which affects the severity of the
2 strain on the body. So those are just some of the
3 different parameters we could measure; and you know,
4 the complexity of the system increases exponentially
5 as we get more and more detailed.

6 So I think the challenge to this committee
7 will be not so much in deciding which physical
8 demands to include in the taxonomy, but what measure
9 they will be measured? And I think that will be the
10 biggest challenge for us here. How detailed do we
11 get?

12 And something that's occurred to me since
13 the last discussion that we had -- our subcommittee
14 had yesterday that I would like to kind of throw out
15 as a possibility and a way for us to get feedback
16 from some of the end users is that, perhaps, we
17 could propose several different options for
18 parameters of measurement ranging from relatively
19 simple to several levels of complexity; and getting
20 feedback from the end users as to what point, hey,
21 this is -- this is more detailed than we need or is
22 this not enough detail, and so on.

1 And then, what do we look at, combined
2 postures versus individual joint positions. Our
3 current DOT looks at whole body positions, like
4 squatting or crouching, stooping, standing, and so
5 forth, versus spine flexion, hip flexion, knee
6 flexion, ankle dorsiflexion. So what level of
7 detail do we presume?

8 I think -- and I will go over this at the
9 end, but I think the subcommittee is certainly
10 leaning more toward whole body positions, rather
11 than detailed joint ankle excursion.

12 The other thing that comes up in this
13 whole issue of posture, though, is ability to change
14 positions. We have heard that over and over again
15 from the end user that that's a really important
16 issue. So trying to develop some sort of system
17 that quantifies the flexibility of the position,
18 and/or the occupation. How much flexibility is
19 there to get out and change positions. An example
20 of that, may be somebody -- this example has been
21 used, I think, in a couple of discussions we have
22 had. Someone that has to drive for a particular

1 distance. How long can they drive before they can
2 stop?

3 If you have a position or a job that
4 requires you to use a computer monitor, using a
5 keyboard, how frequently can you break and --
6 require breaks and still be a productive worker?

7 And then for much of the rehab world, the
8 ability to correlate the physical demands of the job
9 with specific tasks is important. That's not really
10 clear. I think the subcommittee is really needing
11 and wanting some feedback, you know, for what are
12 the expectations for any physical demand
13 classification system that you would -- would build.

14 Do you want to be able to link the
15 physical demands back to individual task
16 descriptions? When we're doing return-to-work
17 rehab, and someone has a lifting restriction, we
18 typically end up trying to help the employers link
19 back that lift restriction to the particular task
20 that required that lifting demand. So I'm not sure
21 that there is that -- there is a similar need for
22 that in the world of Social Security. And so I

1 think -- but I think that's an important piece to
2 know as you -- and to consider as you develop
3 instruments for making -- for measuring it going
4 forward.

5 Again, how much detail? The challenge is
6 going to be balancing the level of detail versus the
7 feasibility of data collection.

8 And then there is this whole issue of
9 things occurring simultaneously, and how much do you
10 break it down. Typically, the head and neck are
11 doing something to visualize the work. The trunk is
12 positioning the body in a position that allows the
13 person to do the work; and then the extremities are
14 contributing in some way to the work. So how do you
15 group things together? How far did -- do you break
16 it down?

17 With the manual materials handling we have
18 gotten feedback from end users that the things that
19 they are interested in is, is it unilateral versus
20 bilateral on a number of the different activities?
21 Is it combined with trunk -- you know, a nonneutral
22 trunk? And then for pushing and pulling, is it more

1 of a whole body push and pull, or is it primarily
2 the upper extremities that are pushing and pulling?

3 And then environmental we have -- we have
4 gone over that a little bit. I think may be a
5 duplicate slide, sorry about that.

6 And then various -- at various times
7 people have raised this issue, does the job allow
8 for accommodations? Either alternative methods of
9 performing the job, administrative, technical; and
10 do we need -- my question at this point is, do we
11 need to include that?

12 And then ergonomic occupation systems that
13 we have reviewed. These are just a few that,
14 interestingly enough, a lot of them are easy -- it's
15 easy to get and see the whole instrument via the
16 internet. A lot of them were developed -- you know,
17 I think the question has kind of come up, well, what
18 are other countries doing?

19 Certainly, this is just in the area of
20 ergonomics; but a lot of these tools were developed
21 in either Finland or the UK. I have highlighted the
22 ones that I am going to show you examples of and

1 that seem to be most prevalently cited in the
2 literature. Just show you some examples.

3 The OWAS is the Ovako Working Posture
4 Analysis System. It was developed in Finland, and
5 that really is probably the most cited ergonomic
6 instrument. I know it's hard to see these. I
7 apologize. I did do print screens from the internet
8 from a lot of these to be able to show you.
9 Essentially, they have come up with a numeric rating
10 system. How do I get this to -- a pointer.

11 So this number is relative to, you know --
12 this is the back position. This number indicates an
13 arm position, and so on. So you have like -- you
14 come up with this number that sort of captures the
15 whole body position. And you end up with this sheet
16 that describes postures in terms of movement.

17 And I like the numbering system because it
18 provides a composite score that really describes
19 the -- not only what the position is, but a level of
20 severity. The problem is that I don't think it's
21 very transparent.

22 So if an employer picks this sheet up or a

1 DDS worker picks this up, they have got to know,
2 okay, what's a 3222 mean. So I see that there are
3 some advantages and disadvantages of this kind of
4 approach. Do we -- do we want something that's not
5 a verbal description of the position; and so
6 that's -- that's a consideration.

7 And then another way that they present
8 their data is in this bar graph form indicating, you
9 know, the category one, just the -- sort of the
10 blank category, all the way to the criss cross hash
11 mark indicates the severity of the category. So the
12 higher the number, the higher the hazard in terms of
13 the load that's on the back, the arms, the legs, and
14 so on.

15 The possible advantage of this kind of
16 system for, you know, documenting hazards is that
17 one could compare like an injured body part to a
18 level of hazard. What I mean by that, let's say you
19 have got a disability applicant who has a back
20 problem. And you could look at different
21 occupations, and maybe most of the time this
22 particular occupation only requires them to be in a

1 straight back or slightly bent back position. Then
2 if 90 percent of the job is in category one, and
3 it's a back injury, then, that might be an
4 appropriate occupation for that back injured person.

5 Whereas, another occupation that has
6 90 percent of the time a category four for the back,
7 that would not be an appropriate position. I am
8 just using those -- throwing out those criteria
9 arbitrarily as an example of how this type of system
10 can be used.

11 Then there is an instrument called a RULA
12 or Rapid Upper Limb Assessment tool. And this tool
13 I thought -- the neat thing about that, as compared
14 to our current DOT, in our DOT all we have is a
15 verbal description or a written description of the
16 different physical demands. This gives sort of a
17 visual image, so that a person -- anyone could
18 understand what this upper arm movement is all
19 about.

20 So I like the visual piece of it; and
21 thought, you know, perhaps, we don't need this level
22 of detail in the analysis that we would do; but we

1 could look at this literature to see, okay, what's
2 the cut point if we're going to define low level,
3 medium, and high level reaching.

4 What -- in the literature, what's the
5 typical cut point for -- let's see. Like, this is
6 typically what we would refer to as mid-level
7 reaching. And here in this particular system it's
8 defined as 45 to 90 degrees. So could we use some
9 of these things for our definition?

10 So this fits more into low level reaching,
11 here to here. What we found in our 2002 research
12 that we did, our reliability research -- because we
13 asked analyst to classify low, medium, and high
14 reaching. And it wasn't until we created
15 operational definitions that had some parameters of
16 degrees of shoulder motion that allowed them to have
17 some sort of cut points. They didn't get out
18 goniometers and measure; but they, you know,
19 visually assessed whether it was low, medium, and
20 high. And giving them these angle degrees helped
21 them be reliable among raters.

22 So this is an example of where -- we may

1 not have a system that is totally driven by range of
2 motion measures, but we might use the range of
3 motion measures in our operational definitions to
4 help us define certain positions. How much leaning
5 from vertical is required in order for something to
6 be called "stooping"? How much knee flexion do we
7 need in order for something to be called crouching?

8 These are just some other examples of how
9 RULA uses the pictures to help classify movement.
10 Then they also include a score for force and load,
11 so that they don't just look at position. And there
12 is a component -- that's a different one. There is
13 a component -- even though it is called the upper
14 limit assessment -- the rapid upper limit
15 assessment, there is a component of lower extremity
16 and trunk assessment in it as well.

17 Then, a very similar instrument, the Rapid
18 Entire Body Assessment. Then what they did is that
19 they developed a composite score that again reflects
20 the intensity of the ergonomic hazard might not be
21 really relevant to the intensity of a hazard.
22 That's not really what SSA is doing; but again,

1 using the vertical trunk angels to quantify if --
2 would this be considered stooping or would it be
3 considered standing?

4 The HAMA, which is the Hand/Arm Movement
5 Analysis, it combines force, position, duration.
6 You know, how long you are staying in the position
7 with the force and the repetition? Its' vaguely
8 this pen and paper system that documents -- the
9 print, I apologize, is very small.

10 This describes the type of grasp that's
11 going on -- the hand grasp that's going on; and then
12 how long -- how many seconds per minute they're
13 having to hold that position; and then how many
14 movements per minute they are doing.

15 So if it's a keyboarding activity that
16 this rating system under moving would be a very
17 high, and they would also have a fairly high holding
18 score for risk position as well. So I thought that
19 was kind of an interesting, interesting approach.

20 Total duration of activity for work day.
21 You know, currently our DOT system divides our work
22 day up into thirds; and this is a class -- they

1 use -- with their classification system, they break
2 it up into two -- two hours minimum, and then one
3 hour increments there above. And so -- up to the
4 point of, I believe, six hours and above.

5 So that's one of the inputs we have heard
6 from end users over time is that, gosh, that --
7 dividing into a third of the day is such -- creates
8 such broad duration categories. So again, looking
9 at this particular study and others that classify
10 work activity as a percent of the work day, what are
11 the other typical classification systems, you know,
12 how are others -- how have others broken out the
13 work day.

14 You know, I just think the more --
15 whatever we choose, whatever we come up with, if
16 there are other studies in the literature that have
17 done it in a similar way, I think, lends some
18 support to our decision making process.

19 They also have a scoring system for
20 covering the variability of work tasks, the
21 flexibility of work task, and the availability of
22 breaks. Again, I can't say this is a perfect rating

1 system, but it gives us some ideas that we can use
2 to rate jobs according to the variability and
3 flexibility, and variability of breaks.

4 This was their -- the way they addressed
5 the working conditions. And I thought this was an
6 example of maybe where they had combined too many
7 constructs into one rating system, because they were
8 rating vision and climate, and how the work space
9 was arranged, and the noise and the hand coupling.

10 It just sort of seem that they threw a big
11 hand basket of stuff in there. And that, you know,
12 if we were -- if SSA were dealing with a client that
13 was visually impaired, these other pieces might not
14 matter so much; but we would want to -- we might
15 want to try to devise some sort of rating system for
16 each of these different pieces.

17 Then, you know, again, the rating system,
18 I didn't think was all that great for this; but the
19 whole idea of intensity is incorporated into that
20 rating system; and that's just an example of the --
21 the rating points for the nonneutral positions of
22 the hand and the arm. And then there is this quick

1 exposure checklist that assesses -- the purpose of
2 it is to really -- was a tool to assess change or
3 improvement in the work setting based on an
4 ergonomic intervention, trying to document before
5 and after improvements.

6 And the interesting thing I thought about
7 this approach is that they had both the observer's
8 assessment, and the worker's assessment. Some
9 things were self report, while other things were
10 actually the observer's assessment. I thought that
11 was kind of an interesting combination.

12 And then they had a scoring grid for each
13 area of the body, the back, the shoulders, the
14 wrist, the hand. And this score combined force,
15 duration, repetition, and height specific to the
16 body part. And that was -- this is a rating system
17 that I think combines all of these pieces into one
18 overall sort of severity score, which I thought was
19 a very interesting concept.

20 So our preliminary thoughts and
21 concerns -- and I welcome my other committee members
22 to jump in and throw their thoughts into this.

1 Essentially, I think we feel like the DOT has some
2 pretty good physical demand categories; but all the
3 end users that we have talked with so far are
4 wanting additional detail beyond what's in the
5 current DOT. Particularly for these things that we
6 have heard over and over again, reaching, climbing,
7 balancing, upper extremities, specifically as it
8 relates to unilateral, and really to hand grasping
9 too, which I didn't put on the slide; but neck
10 movement, repetition, hand function -- yeah, the
11 hand function, sorry about that. Non-neutral trunk,
12 lateral bending, extension, and rotation, not just
13 forward bending, which is -- which is in the current
14 DOT.

15 I think the consensus of the subcommittee
16 is that we really don't want to move down to a
17 system where we are documenting joint angles, but
18 that we might want to use joint angles as part of
19 our operational definitions. We understand that
20 Social Security Administration really doesn't need
21 to identify the hazards -- or a hazard level of the
22 job, but there are pieces from those systems that

1 might be helpful to us as we develop our
2 classification system.

3 And then, you know, for example, if we're
4 going to say that something is repetitive, what is
5 going to be our operation -- what is our operational
6 definition from that? And I think there is some --
7 there are some guidelines that we could use or sub
8 on, even though there is some controversy in the
9 literature about this.

10 We might -- the literature would help us,
11 I think, define our cut points or our criteria for
12 high reaching, and develop some more discrete
13 categories for duration.

14 Our next steps are finish the literature
15 review and complete the physical taxonomy comparison
16 spread sheet. And we're going to do that for
17 physical, sensory, environmental. And then make
18 taxonomy recommendations for not only the categories
19 and demands, but some measurement strategies; and
20 then get that paper done by August 20th.

21 So that's all I have. I would like to
22 open it up to questions or comments.

1 DR. BARROS-BAILEY: I had a question. I
2 think this is for Social Security in terms of the
3 one comment about hazards. If that might be
4 something we can get clarified, particularly, in
5 those jobs where we might be dealing with
6 individuals who have mental/cognitive issues; and
7 where identifying hazards on the job might be
8 important in terms of somebody's ability to carry
9 out a job. I'm thinking about somebody who might be
10 working in a warehouse and might need to be aware of
11 the hazard of being run over by a forklift, that
12 kind of thing. So we might want to explore that a
13 little bit more.

14 MS. KARMAN: I think that's certainly
15 something that we would want to take back, and you
16 know, we could go back to our user needs analyses
17 and see to what extent that's come up; and I know we
18 talked some about that, so we will do that.

19 MS. LECHNER: Yes, when I was doing the
20 presentation, I was really referring to hazard as it
21 pertains to an ergonomic stressor for the
22 musculoskeletal system. But I can -- I have heard

1 what you are referring to Mary as being referred as
2 to a safety sensitive position. So that if you are
3 working in an environment where there are explosive
4 chemicals or around moving equipment, and you have
5 attention deficit disorder, or you are asked to
6 operate moving equipment around explosives or
7 something like that, that there could be certain
8 identification for those safety sensitive positions,
9 you know.

10 MS. KARMAN: Yes, I think so. I did
11 misunderstand that, because, you know -- to some
12 extent we do need to be able to identify, you know,
13 what possible issues in particular occupations that
14 if somebody has a sensory problem, they would not be
15 able to, you know, be vigilant for those kinds of
16 circumstances in the job. Or if they have judgment
17 issues, you know, of cognitive, functional issue,
18 you know, would they have a problem with that?

19 So then the question becomes, what level
20 of detail would be useful for Social Security? So
21 we will work with that.

22 I did have a couple questions. I'm going

1 to hold off and let other people ask stuff.

2 DR. BARROS-BAILEY: Gunnar.

3 DR. ANDERSSON: Yes, I -- this whole field
4 is a very confusing field. Because most of the --
5 of the systems that you were talking about were
6 really developed in order to classify jobs by
7 activity. And a lot of them have actually -- have
8 any impact on the risk of you developing
9 musculoskeletal conditions. Further, a lot of them
10 actually measure the effects on the body.

11 So that's our biggest problem is to -- if
12 you work in a forward leaning posture, there is one
13 or two things that might happen. One is you might
14 not be able to do it, because of your back pain.
15 And the second is that if you do it, you might
16 develop back pain. And for the purpose of
17 occupational titles, we have to make a decision.
18 What it is that we're actually trying to do? And my
19 sense is that what we're actually trying to do is to
20 describe what kind of physical stressors exist in
21 the job without taking into account whether or not
22 those are, in fact, harmful or not harmful, because

1 that would take us one step further.

2 Now, we get into a very confusing field,
3 where there is differences in opinions about
4 everything. For example, there is several very
5 highly qualified analyses on the literature, and
6 analyses or whatever that would suggest to you that
7 carpal tunnel syndrome and keyboarding has no
8 relationship; and then there are others who would
9 suggest that they do. So it becomes a very
10 controversial field that I don't think we want to
11 enter into.

12 The other thing is the precision by which
13 you measure these things, because when OWAS was
14 developed -- and I was involved. I lived in Sweden
15 at that time. And the Sweds were working in
16 developing these kind of things. We didn't have
17 access to modern means of recording. So people were
18 actually standing there recording on paper what was
19 going on. And then, subsequently, over the next ten
20 years there was a development from that to video
21 film. And then there was a development from video
22 film to computer analyses of what actually happens.

1 And you can go even further. There is
2 exoskeletons that you can attach to people that can
3 give you an actual description of every joint that
4 we want while people are all doing all sorts of
5 jobs. And we used them to look at five -- here in
6 Chicago, I mean, there is a variety of these kind of
7 things. There is fairly accurate biomechanical
8 models that can also tell you what the affect of
9 these different activities are on different parts of
10 the musculoskeletal system.

11 Again, I think we need to be very careful
12 not to go too far, because I think if we do, first
13 of all, we will become controversial to a large
14 degree; and secondly, I don't know that it's that
15 helpful.

16 So I would be in favor of trying to make a
17 fairly simplistic description of what it is that we
18 are interested in documenting, which is, I think,
19 what Deborah tried to do on the categories of
20 physical demands.

21 Once you have done that, then, you can go
22 to the next step and say, how many integrals, how

1 many classes do we need to describe one or each of
2 these dimensions? And now, we have a reasonable
3 system that you could go out, and you can actually
4 use in the workplace. I realize that then you have
5 a number of other factors that influences into this,
6 which includes the environmental factors in which
7 you are working.

8 There is really very little evidence to
9 relate these environmental factors to
10 musculoskeletal. There may be some, but there is
11 very little to document it. For example, cold and
12 heat have not generated a lot of very useful data.
13 People have gone the other way in terms of looking
14 at that, and then used psychometrics, and other
15 types of tools to make better assessments of --
16 Liberty Mutual has been a front runner on that
17 particular front.

18 But again, it's hard to do and it's not
19 necessarily that well -- that well producible and
20 that valid, as David would say; and so I think we
21 need to be somewhat simplistic in our approach to
22 this. Otherwise, I think we will end up just losing

1 ourselves in an enormous amount of information; and
2 we're just not consistent, which is very difficult
3 for us to in a short period of time decide what is
4 the best.

5 DR. BARROS-BAILEY: Mark.

6 DR. WILSON: I had a similar, I think,
7 kind of related question as Debra was speaking, and
8 I don't know if you are really prepared to answer
9 this yet, but it is -- really is kind of depth
10 versus breadth, and I came up with a couple of
11 different aspects of what you were talking about.

12 One is sort of a taxonomy -- I'm sorry,
13 what the physical demands are. I came away with a
14 clear indication that needs to be expanded a little
15 bit on some things we need to talk about. Then I
16 thought you made some very important comments about,
17 well, that's not as big an issue as what is it we
18 collect about that. There is a lot of different
19 types of measures and different aspects of
20 measurement with regard to whatever dimensions you
21 come up with.

22 So any kind of thoughts or guidance you

1 can give us on the whole depth versus breadth. I
2 think what Gunnar said, should we have fewer
3 dimensions, but just nail them? Or should we have
4 more, but not get excessively into, you know, all
5 the different aspects of it?

6 MS. LECHNER: My gut answer to that,
7 without having thought it through completely, and
8 without having discussed it with everyone on the
9 committee, which I think is important -- and I
10 welcome you all to chime in too -- but, you know,
11 our current system is based -- all these things are
12 really either documenting the force that's required
13 and/or the duration of -- purely, what percent of
14 the eight hour day is someone doing this?

15 And the challenges that, I think,
16 clinicians struggle with, as they evaluate
17 disability advocates or whatever, is if you have to
18 do something up to a third of the day -- if you do
19 it intermittently throughout the day, that's one
20 thing. If you have to do it all continuous, then
21 that's really a different demand.

22 So, you know, the main thing that I hear

1 from the community and the end users is give us more
2 discrete categories so that we're not looking at a
3 third of the day, not looking at 10 percent of the
4 day, or a quarter of the day intervals. Give us
5 more discrete categories, and maybe that's the only
6 change we make.

7 You know, it would certainly be an easier
8 transition for the folks that are out there
9 evaluating the people side, you know. If we start
10 to throw in cycle time and number of repetitions and
11 degrees of severity of the position, and we factor
12 all that in, first of all, a lot of these models
13 have been sort of put together; and I haven't
14 reviewed the literature well enough or in depth
15 enough to say are they even validated models, you
16 know. Are they weighted models. Are they just
17 throwing some numbers together and weighing
18 everything the same.

19 So you know, my gut thing is -- my gut
20 reaction to what would be the easiest and probably
21 the most palatable piece for both sides of the table
22 is just more discrete duration categories; and

1 perhaps more discrete weight, you know -- because
2 like medium work is from 20 to 50 pounds. That's a
3 huge range. So maybe more discrete weight
4 categories, and more discrete duration categories.
5 And that would be -- to me, that would be the least
6 change that we could make that would be meaningful
7 and welcomed in the community.

8 The other piece is -- I think can be
9 extremely important when you are looking at work
10 tolerances; but I think Dr. Andersson's point is
11 really well taken. There is just so much; it's
12 controversial. We could probably establish some
13 arbitrary categories and arbitrary rating system,
14 but how valid would that be? And maybe something
15 like that could emerge from research over time. You
16 know, maybe that's a piece that gets built into the
17 evolution of this system. So that's kind of my gut.

18 DR. BARROS-BAILEY: Gunnar.

19 DR. ANDERSSON: There is some physical
20 categories that are not included. We talked about
21 some of them before, pushing, pulling, for example,
22 and some other activities. Those are easy to

1 include.

2 I think on the fourth measurement slide --
3 I mean, medium actually is lifting up to 50 pounds
4 occasionally, 25 pounds repetitive. So you do have
5 repetitive in there. It doesn't say what repetitive
6 is, eight times per hour, 50 times per hour. You
7 could, of course, be much more specific about that
8 if you really want to.

9 The problem of being too specific is that
10 now you create a really, really difficult analysis
11 package. And when you look at this what's practical
12 right now is that if you say, for example, that
13 50 pounds maximum, 25 pounds repetitive, and I send
14 a patient to a functional capacity evaluation and
15 they determine that they can do that; then, I can
16 send the patient back to a medium level hearing, and
17 it's very easy for me.

18 If, on the other hand, they say, well, he
19 can only lift 12 times an hour, 25 pounds; and maybe
20 once a week 50 pounds, then it becomes extremely
21 difficult. And now -- so we don't want to make it
22 more difficult unless we can document for sure that

1 it actually is justifiable, in which case we should
2 make it more difficult; but I don't think we can.

3 So I would try to do exactly what you're
4 describing. I would identify the areas that are not
5 included. I would add more detail on many of
6 these -- for example, right now there is no detail
7 on sitting and standing. It's usually by hours. So
8 sitting more than four hours or standing more than
9 four hours -- well, that's not a good dimension,
10 because four hours in a row is very different from
11 spread out during work day.

12 So there are certain elements to each of
13 these that we need to add in order to have a better
14 view, and a better understanding of what are the
15 actual, physical requirements of the job. I think
16 we can do that reasonably easy without making the
17 whole system so complicated that it just won't
18 function.

19 DR. BARROS-BAILEY: Okay. Mark.

20 DR. WILSON: That's very useful, and sort
21 of summarize what I heard really as well. Not much
22 more breath, maybe a little more. But definitely

1 more depth in some areas; but depth that's designed
2 to support the various decisions that need to be
3 made. Then my question is -- I understand not
4 increasing the complexity; I'm very sympathetic to
5 that.

6 I guess my question is, where do the
7 current cut offs come from? How valid are they?
8 Should we be doing studies that validate whether or
9 not -- you know, granted everyone is comfortable
10 with these decision rules, but do we know much about
11 where they came from, and people are used to them.
12 You know, again, one of these number of reliability
13 validity assess --

14 DR. ANDERSSON: -- assess --

15 DR. WILSON: -- psychologists.

16 DR. ANDERSSON: They were taken out of a
17 hat.

18 But again, you have to remember what the
19 purpose of these is. If the purpose is to determine
20 safe levels, then, they're not good. But if the
21 purpose is to determine what you are actually able
22 to do, then, they're fine.

1 MS. LECHNER: I think the purpose of these
2 levels were more along the lines of let's
3 semi-quantify the physical demands, let's quantify
4 the person's abilities using the same categories, so
5 we can match apples to apples and oranges to
6 oranges. It could be -- the cut point can be
7 arbitrary as long as we're using the same system on
8 both sides. We're just saying how much of the day
9 does this person have to do this, and can this
10 person do it for that much of the day? So.

11 And I, you know, presented the other
12 pieces not to say that I believe that's for the
13 depth we should go to, but more or less to say this
14 is what's out there and to show that we -- and to
15 document as a committee that we didn't ignore that
16 literature; and that we looked at it and decided yay
17 or nay for some practical reasons.

18 DR. BARROS-BAILEY: Sylvia.

19 MS. KARMAN: Thanks. I -- two questions,
20 Deborah.

21 One of them is, you asked for feedback on
22 measures needed from users. And so I think the next

1 time we meet in our subcommittee, we probably want
2 to talk a little bit about that. I'm going to take
3 that down as an action item, follow-up on that.

4 The other thing, too, is that as we talk
5 about that, some of that information may be coming
6 out of our focus group. It is also something we
7 build into the focus group testing that we will be
8 doing with whatever prototype instruments we
9 develop. So that maybe we want to show users, you
10 know, different instrument outcomes.

11 Well, it could be this, or it could be
12 this. And how useful would this be, given our
13 adjudicative experience? You know, we could
14 superimpose that and show it to users and say
15 that -- how does that -- how does this work for you?
16 Or even give them a sample claim, and say, all
17 right, you have got this situation, how would this
18 work, given these measures?

19 One of the things that comes up, and this
20 is my second -- well, actually, second point after
21 the tasks and correlations. But the other point I
22 wanted to make about the measures issue, which,

1 again, I guess we're not going to know until we
2 really begin to pull together our content model and
3 develop some instruments and actually get out and
4 start testing them with users, with claims that have
5 been decided, and look at comparisons to see what
6 are the effects -- what are the program effects?
7 What are the adjudicators having trouble with?

8 One of the things I know we're struggling
9 with, both in the Mental Cognitive Subcommittee and
10 the Physical Subcommittee is what can Social
11 Security obtain -- you know, what kind of
12 information can we get from the claimant in the
13 first place?

14 You know, so to the extent that we -- I'm
15 really heartened to hear that people are talking
16 about making it more simple as opposed to not more
17 simple, because that is an issue, you know, where we
18 can look at possibly getting measures of job demands
19 down to the, you know, micro ounce or whatever. It
20 just gets to a point of where, yeah, as an
21 adjudicator, how would I ever get the information
22 about the claimant, what they're capable of doing.

1 You know, that marriage is really
2 important. It may be there is probably really great
3 information you can get about the occupation that
4 Social Security -- as we begin testing our
5 instruments, we may be throwing out some of those
6 items, because Mark was presenting yesterday and
7 saying, you know, we're manned up with a lot of
8 items to start with to test on.

9 So for example, the physical subcommittee
10 might be recommending, and the mental subcommittee
11 might be recommending a lot -- you know, numbers of
12 dimensions and examples of items underneath that, or
13 elements underneath that, that that might capture.
14 In the long run, we might end up tossing out some of
15 those, because -- yeah, we can measure them really
16 well in the world of work possibly. So that we're
17 satisfied with that. Then the question comes up as
18 to whether or not -- you know, how practical is it?
19 So anyway, that was one thing.

20 Then, the other thing was the -- the other
21 question I had was on the correlation of physical
22 demands to task. I thought maybe if you get Mark

1 and Shanan and perhaps give us some insight about
2 that. Because we have been talking a little bit
3 about the extent to which tasks and physical demands
4 and skills sort of intersect. I know we haven't
5 quite defined what level of tasks we are talking
6 about.

7 We think pretty much that it is not going
8 to be at that level that we are accustomed to in the
9 Dictionary of Occupational Titles, because we would
10 like for things to be so that you can compare
11 obligations across the board. But to what extent
12 can we correlate physical demands with the task,
13 such as we're defining them? We haven't completely
14 defined that yet, and how does that fit in with
15 skills so that that gets identified.

16 So I don't know, if I'm making sense. If
17 I'm not, just ask me. I will try to clarify.

18 DR. GIBSON: I will take a rather
19 simplistic answer to probably a much more complex
20 question. It seems to me that the answer is
21 depends. What we would like to do -- and I think
22 Mark has talked about this extensively and very

1 well, is to minimize the inferential leap that's
2 necessary to be made when one goes from talking
3 about -- we're going to call them meta tasks just
4 for fun -- to the demands that are placed on the
5 person by those meta tasks. So the idea is it will
6 depend on that -- how big the inferential leap is.
7 In order to minimize that, the answer is, it depends
8 on how the items are written.

9 We're actually -- at some point items will
10 be written to measure those meta tasks as we
11 describe them in both categories, and they can be
12 more or less specific in how they relate to physical
13 demands, or mental cognitive demands. So that will
14 play a huge role. The more tightly they are
15 written, the more naturally they will highly
16 correlate, and a smaller inferential leap will be
17 required.

18 So at this point it's very hard to say, is
19 it viable? Yes. Can we test it? Not until we have
20 data.

21 Ideally, that takes us back to the idea of
22 pilot testing. At some point an instrument is

1 created. We go out, take it and see. We then look
2 at the numbers. That will also be a decision making
3 factor, a determining factor for us probably,
4 because of the items we keep. The items that are
5 most predictive for you will be the items you will
6 want to keep as well.

7 I know I am pushing data driven empiricism
8 here. I think that's what you will need to see to
9 make that determination.

10 MS. LECHNER: In the current DOT, there is
11 no correlation between physical demands required and
12 individual tasks. So it's something that isn't
13 present now, and I'm not so sure that it's going to
14 be an issue for the purposes of SSA. But I know
15 that in the rehab world when people are out doing
16 job analysis, they are typically trying to tie back
17 the physical demands to individual tasks, because
18 that's how they help employers translate patient
19 restrictions to what they can actually do back at
20 work. But for your purposes, I'm not sure that it's
21 really relevant.

22 DR. BARROS-BAILEY: It's 2:30 -- almost

1 2:30. We have public comment coming at 2:30. So
2 what we will do -- I know we have four public
3 commenters. Then we will have a little bit of time
4 at the end of the hour designated. We will take a
5 break at the end of the hour. I would like to kind
6 of stay on schedule with that. And we're going to
7 have time for deliberation when other subcommittees
8 present. We can take up the task at that point.

9 In order for the Panel to hear from the
10 community at large, at this point we will go ahead
11 and open the meeting to allow for public comments.
12 I would like to review the guidelines for the
13 commenters.

14 Each of you will be allowed ten minutes
15 for your comments, followed by Q and A from the
16 Panel members. Today we have four individuals
17 giving public comment. I would like to welcome Beth
18 Alpert. Okay. Welcome.

19 She is from Beth Alpert and Associates.

20 MS. ALPERT: I didn't realize I was going
21 to be first. I would like to thank the Panel for
22 the opportunity to address it regarding the --

1 DR. BARROS-BAILEY: If you could turn your
2 mike on. Just tap the button. Thank you.

3 MS. ALPERT: I would like to thank the
4 Panel for the opportunity to address it regarding
5 occupational issues and Social Security. I'm not a
6 vocational expert. I'm not a statistician. I'm not
7 an organizational psychologist. So I do understand
8 half of what you are talking about. I do represent
9 claimants before the Social Security Administration,
10 and have been doing so for more than 25 years.

11 I meet with claimants daily and would like
12 to relate to you some of the real world examples of
13 claimants, and how important these individualized
14 evaluations of the Social Security process is to the
15 claimant, especially the individualized evaluation
16 at step four and five of the sequential evaluation;
17 can a person do their past relevant work? Are there
18 other jobs in the economy this person can perform?

19 My clients have given me permission to
20 relay some of their case histories to you, probably
21 because they won their -- their cases, and are
22 disabled and on benefits. I would like to relay

1 just a few of these examples.

2 Ms. B is a 23 year old woman. She is
3 visually impaired. She came close to meeting the
4 listing for visual impairments, which would have
5 been a per se disability. She did not meet it
6 exactly.

7 At the hearing, the vocational expert
8 testimony was taken, and the ALJ found Ms. B could
9 not perform her work -- could not perform work
10 requiring bilateral vision, had no vision in the
11 right eye; could not perform work requiring
12 peripheral vision. She had limited visual acuity
13 efficiency. She would need visual protection to
14 avoid hazards in sporting types activities, and she
15 could only read large print. Ms. B is attending
16 college at this time.

17 The vocational expert was able to take
18 into account the accommodations that the school
19 provided for Ms. B. She must sit in front of a
20 class. She has a note taker. Extra time was given
21 for assignments. Books on tape or large print books
22 were provided for her. She was allowed to leave

1 class early to avoid crowds. And so the vocational
2 expert took all these accommodations that were given
3 and applied them to the real world setting.

4 This is something that's hard, I think, to
5 quantify and to put into some kind of list that
6 everyone would -- or residual functional capacity
7 grid like we use for the physical problems. In this
8 case it was good that there was an individualized
9 assessment under the hearing. Because of the
10 vocational expert, this is really a success story.
11 This is how Social Security should work.

12 She will get her college degree, and while
13 on Social Security she, hopefully, will be trained
14 with skills she can use to sustain a job and get off
15 benefits. But without the vocational testimony she
16 probably would have been denied, because she did not
17 meet the listed impairment, and there would have
18 been no way to take this individualized case and
19 figure out what degree should be used.

20 Mr. M is a 56 year old man. He has a good
21 work history as an accountant. He suffers from
22 peripheral neuropathy, major depression with

1 prominent anxiety, and obsessive, compulsive
2 features, and hand tremors. In this case the
3 vocational expert was able to shed light on how the
4 excessive compulsive features required him redoing
5 his work over and over; and, in fact, did so much
6 erasing that he was making holes in the paper, meant
7 that he couldn't perform at a competitive rate. The
8 hand tremors also affected both gross and fine
9 manipulation, and the time it took him to do the
10 activities.

11 The depression affected him by taking him
12 off task, and also affected his absenteeism. One --
13 one or two of these problems may or may not have
14 gotten the claimant disability, but the vocational
15 expert was able to take the limitations in total and
16 put it to the real world of work.

17 Mrs. K is a 45 year old women with breast
18 cancer. She did meet the listing of impairments or
19 would not have been found per se disabled. She was
20 unable to use her dominant arm for repetitive tasks.
21 She could not use the dominant arm for overhead
22 reaching or lifting. Due to the extreme fatigue

1 from radiation and chemotherapy, she needed many
2 breaks during the day.

3 Once again, thanks to the testimony of a
4 vocational expert, we were able to get her on
5 benefits before she died, easing her last few months
6 knowing her children were financially being taken
7 care of.

8 Vocational expert testimony helps address
9 many factors that are found in the real world, pain,
10 problems concentrating, paying attention, staying on
11 task, lack of manual dexterity, absences from work,
12 frequency of breaks, side effects of medication,
13 elevation of legs, loss of use of a dominant or
14 non-dominant arm or hand, inability to reach
15 overhead, inability to perform repetitive motions, a
16 need to change position, and how the job the
17 claimant was doing is performed in the real world,
18 and not necessarily only how it was performed in the
19 dungeon.

20 Though at first blush it may seem we can
21 easily put all cases in a few simple categories and
22 consider them cookie cutter cases; they are not.

1 They are often subtle and non-subtle differences
2 with each case, which must be individually analyzed.
3 How does a person's impairment and resulting
4 limitations affect his or her ability to work in the
5 real world? And that's what we're looking at, the
6 real world.

7 I have found that the vocational experts
8 who work in the real world, evaluating jobs, and
9 helping to place people in the work are in the
10 perfect position to offer opinions as to how a
11 person's ability, limitations affect the ability to
12 sustain employment. While there is the temptation
13 to make a grid-like model using nonexertional
14 limitations, I request that this temptation be
15 seriously considered and resisted; because the
16 nonexertional limitations are much greater than the
17 physical ones. The number of different ones, and
18 the continuing of them; and the interplay between
19 all the limitations.

20 And that's important is the interplay,
21 because the claimant may have problems with
22 concentration, paying attention, getting along with

1 a supervisor, co-workers staying on task, completing
2 a work day, work week. And individually, one or two
3 of these elements might not stop a claimant from
4 working; but in combination, they would.

5 So to say that you meet one or two of
6 these, or three or four doesn't really take into
7 account what's going on in the real world, how they
8 interplay with one another. Because they each have
9 different weights, depending on the job the claimant
10 is doing and what you are looking at.

11 For example, someone that missed one day a
12 week would not be able to sustain substantial
13 gainful activity. Pretty much most everyone would
14 agree on that. Someone that was off task 50 percent
15 of the time wouldn't be able to. What if this
16 person missed one or two days per month, and was off
17 task five to 15 percent of the time?

18 Where in that continuum would it be that
19 the -- you know, that they couldn't sustain
20 substantial gainful activity? Once again, I think a
21 vocational expert helps us, because we don't -- it
22 would be impossible or ridiculous to set something

1 up to say well, if it's 5 percent, then, it should
2 be this percent of that, 5, 3, 2, 1; you get the
3 point.

4 And it's not whether someone can do a job
5 or get a job, it's whether they can sustain a job.
6 That's where, once again, someone that's working in
7 the real world and the changing of what's going on
8 and expected helps.

9 And I think we can all agree that there
10 are problems with the DOT. More than a little
11 portion of it is outdated. The jobs no longer
12 exist. They have been fundamentally changed. But
13 I'm not sure why the DOT cannot be updated by Social
14 Security. That it has worked well for a number of
15 years; and I'm not sure why we necessarily have to
16 go to a totally new system.

17 And I would ask the Panel to consider
18 updating the DOT. It doesn't have to be done at
19 once. It can be done over a period of time. We
20 have waited this long, and it can be eased in. I
21 would suggest that sedentary jobs seem to be in the
22 most need of updating, because of new technology and

1 globalization, and to focus on that first.

2 The DOT tends to be user friendly. It
3 offers consistency, uniformity; and for the Dalbert,
4 it is recognized by the courts as being an
5 acceptable measure. Any new system I fear would be
6 open to similar Dalbert challenges; and we would
7 find ourselves in court for years before it became
8 accepted and outdated.

9 I would like to give a few more
10 examples --

11 DR. BARROS-BAILEY: We're sort of right at
12 ten minutes. So if you can be very quick, that
13 would be great. Thank you.

14 MS. ALPERT: Most cases are a combination
15 of impairments where symptoms wax and wain. And
16 once again, sustaining benefits -- sustaining
17 activity, not just doing it. Also just like to add
18 a caveat about interviewing claimants. I do this
19 everyday; ask the claimant what they did on their
20 job.

21 What I find is they often underestimate
22 what the requirements of a job were. How much did

1 you lift? 10 pounds, 20 pounds. What was it?
2 Four gallons of liquid. Well, we know that four or
3 five gallons of liquid -- we know that to be
4 40 pounds, not ten or 20 pounds. Same thing, how
5 much do you lift? Five pounds, two cases of soda.
6 That's 24 pounds.

7 So I would suggest that observing these
8 jobs probably is going to be more beneficial finding
9 out what really goes on, than just asking the
10 claimant. Also, claimants tend to say no, there
11 were no accommodations. I did my job just as well
12 as the person next to me.

13 You talk to the supervisor, you talk to
14 co-workers you find out in fact, they were given
15 less assignments, maybe more time to do the job.
16 Co-workers were assigned to do part of the task.
17 They have been there 20 years, 30 years, and often
18 they don't know the accommodations that have been
19 made to do this.

20 I would just ask on behalf of claimants
21 that whatever system you come up with offers the
22 claimant a full and fair individualized

1 determination with the real word, and the
2 combination and interplay of their impairments and
3 weighting them appropriately, and that each case --
4 or each case is different, and the resulting
5 limitations are different, and they all have to be
6 taken into account. Thank you very much.

7 DR. BARROS-BAILEY: Thank you. I
8 appreciate your time for coming here. I do want to
9 just say in terms of the vocational experts, I think
10 what we're attempting to do is take it from an
11 abacus to something more modern that can be an
12 applied tool. It will not replace the judgment --
13 the clinical judgment of vocational experts; and it
14 will, hopefully, help them do their job better.

15 MS. ALPERT: I appreciate that. I just
16 not like to see their hands tied, because we do find
17 so many subtle differences on the cases, and so many
18 factors that have to be taken into account. Thank
19 you.

20 DR. BARROS-BAILEY: Thank you. Are there
21 any questions from the Panel?

22 Thank you for your time.

1 Okay. Now, we have Mr. Tom Yates. Tom
2 Yates is with Health and Disability Advocates.
3 Welcome.

4 MR. YATES: Thank you. Thank you -- is
5 this on? I guess it is.

6 Thank you. I will keep my comments brief.
7 You will have been here all day. I think we're
8 standing between you and a break as well, is that
9 correct?

10 So I do have my written comments and
11 materials. They're not long. You can read them. I
12 have been an attorney. I work at a nonprofit agency
13 in Chicago. We represent individuals seeking
14 benefits. We also assist individuals with
15 disabilities attempting to work or return to work.
16 So we kind of see it from both sides at our shop.

17 I have a couple thoughts about redoing the
18 DOT. First, we acknowledge as you do, I think, that
19 it needs to be replaced. It is outdated. We spend
20 a lot of time looking at it, finding interesting job
21 descriptions that we can't imagine anyone can do.

22 I think my favorite has always been dance

1 hall hostess, which sounds vaguely a wiggle to me
2 when I read the description; but that is neither
3 here nor there. I think it's agreed that we need to
4 do that.

5 Our sense is when we look at it, when we
6 look at what's considered to determine whether
7 someone could work, it seems difficult to create a
8 system that would consider every factor that would
9 come into play when you are looking at whether
10 someone can work. I suspect -- I think I'm hearing
11 some of this in discussion today that you are going
12 to try to build an overall system that improves what
13 we have, but leaves room for variation, because so
14 many cases are unique. Some people we see, you
15 know, really don't have an anomaly. They really
16 have so many conditions, or their conditions are so
17 unique.

18 Second, I think that whatever replaces the
19 DOT has to factor into consideration and still
20 acknowledge in the statute consideration of
21 vocational factors such as age, education, and work
22 experience. I think they have to be considered in

1 combination. Age in and of itself isn't really that
2 big a factor. I am 50. I heard someone this
3 morning say he was in his 50's, and we're both
4 functioning pretty well, I think.

5 What I see, though, is people in their
6 50's, very little education, no real job skills.
7 When they lose their jobs, they're really adrift in
8 this current economy. They are not well equipped to
9 transition to other jobs. They don't have
10 educational skills. They never learned how to use a
11 computer. They don't have much of an education.
12 They don't have skills to help them move somewhere
13 else. I think that has to be considered.

14 Third, the DOT never really distinguished
15 between part time and full-time work. At least from
16 my angle as an attorney, I realize that once someone
17 has shown they can't do their past relevant work,
18 you need to show that they can't do -- there is not
19 other full-time jobs that exist that they can do. I
20 think you need to focus on the fact there are many
21 jobs that I think in the DOT now that are really
22 performed on a part-time basis. They do not exist

1 in the economy on a full-time basis; and I think
2 that needs to be thought about as you are updating
3 the DOT.

4 Fourth -- and there is a long list in my
5 written statement, and I think I just went through a
6 number of them. There are a number of symptoms
7 that -- and other kinds of factors that have to be
8 considered in determining whether someone is able to
9 work or not; pain, fatigue, reaching limitations,
10 manipulative functions, sensory loss, dizziness.
11 And probably one that's most important, mental
12 demands. Whether someone can handle more complex
13 tasks. Whether they can get along with others.
14 Whether they can concentrate adequately to do a job
15 on a sustained basis; not on a sustained full-time
16 basis. That's not in the DOT as it is today. I
17 think that we need to look at them.

18 Finally, I will close with saying that
19 every case is unique, and the Social Security Act
20 does make that clear. Social Security Regulations
21 say that evaluation of symptoms is unique to each
22 claimant. Different individuals have different

1 reactions to such symptoms, and you need to
2 investigate that as you are making this decisions.
3 So any system that doesn't factor that in, I think
4 would not be a system that adequately determines
5 whether people can work or not.

6 Thank you very much. You have a daunting
7 challenge. I will be watching. I'm glad I'm not on
8 the committee, quite frankly; but we will be
9 watching very equally to see what you come up with.

10 DR. BARROS-BAILEY: Mr. Yates. Thank you.
11 Tom, you had a question.

12 MR. HARDY: Just very quickly. You said
13 you thought that some of the occupations in the DOT
14 that are listed are now part-time occupations. Can
15 you give me an example of one or two?

16 MR. YATES: Sure. Bagger in a
17 supermarket. At least as I see it performed in this
18 metropolitan area, it tends to be a part-time
19 position.

20 Some time you see greeters -- we don't
21 have them in Walmarts in Chicago, but I visit my
22 relatives. I know when you walk in, there will be

1 someone greeting you there. I don't think that's a
2 full-time position, for example. They tend to be
3 shorter time.

4 A lot of loading positions, UPS
5 positions -- now, I know they are done full time,
6 but a lot of jobs are just not hiring as full-time
7 positions.

8 It is pretty common for me to see
9 individuals who come in -- not necessarily because
10 they are applying for disability, but for other
11 purposes who may only be working 20 hours a week.
12 That's the position they have.

13 MR. HARDY: Thank you.

14 DR. BARROS-BAILEY: Thank you, Mr. Yates.

15 As you pointed out, like Ms. Alpert had
16 pointed out, there isn't -- we can't include every
17 variable in a new OIS or any OIS. I think to
18 emphasize, when we are looking at evaluating people
19 with disabilities it's a very heterogenous
20 population in terms of function so that clinical
21 evaluation of vocational experts remain very
22 important within that process.

1 MR. YATES: Yes, I think I said in more
2 than one or two, the complexity of the task, which
3 you have.

4 DR. BARROS-BAILEY: Okay. Gunnar has a
5 question.

6 DR. ANDERSSON: Fortunately, we don't have
7 to be as concerned as you are about some of the
8 factors for disability determinations since we are
9 trying to classify the job. On the other hand, the
10 things that you are mentioning here clearly are the
11 ones that needs to be included in the classification
12 in order to able to make these determinations. And
13 so it's hard to know how to deal with issues, such
14 as pain, fatigue, and other things from the point of
15 view of classifying jobs. That sort of happens on
16 the other side.

17 MR. YATES: Yes, and I think part of it is
18 in response -- that you are looking at whether
19 someone can sustain an activity. And at least
20 anecdotally, what I see is that someone may have a
21 condition, say, early multiple sclerosis, or
22 something where they might be able to function for

1 two or three hours a day; but to expect them to do
2 eight hours is very, very difficult. To expect them
3 to do eight hours day in and day out is very
4 difficult.

5 When I see some of the different models
6 that are used, for example, in long-term disability
7 claims, I often see that something they missed there
8 is that they really don't have a good way -- I'm not
9 saying it's a problem with the model. It's
10 something that's not in there -- is you don't have a
11 good way to assess whether someone can sustain
12 something over a full time -- you know, full-time
13 position, which is what you end up doing when you
14 get to step five of the sequential evaluation.

15 DR. BARROS-BAILEY: Okay. Thank you for
16 your time. We appreciate it.

17 Next, we have Mr. David Traver with Traver
18 and Traver. Welcome.

19 MR. TRAVER: Thank you.

20 My name is David Traver. I am an
21 attorney; I am also an author. I brought a present
22 for you. I bought a copy of my Social Security

1 Disability Advocates handbook for you to use. I am
2 donating that to Social Security Administration for
3 your use. I ask that you please take a close look
4 at chapters 13 through 20.

5 I am also a vocational professional. I
6 did understand everything that you said today. I
7 have a Master's Degree and a Bachelor's Degree in
8 Vocational Rehabilitation. And I was especially in
9 vocational evaluation. I used to run one of the
10 largest vocational evaluations departments in
11 Wisconsin back in the '80's. So I understand the
12 role and function of vocational analysis and putting
13 people into the world of work, and the relationship
14 between those two.

15 I wanted to object to what -- to something
16 that I have heard this afternoon. I came in after a
17 nice lunch and sat down, and heard you talking about
18 end users.

19 I think Attorney Beth Alpert gave really
20 good examples of who your end users really are.
21 Your end users are the tens and thousands and
22 millions of disabled and disadvantaged people who

1 come to the Social Security Administration. When
2 they come to the Social Security Administration,
3 they need help. They need help because they're
4 losing their homes, because they don't have health
5 care. They want to work, but they can't work.

6 These are people, in the majority, who
7 have -- are filing a Social Security Disability
8 Insurance claim. They paid, just like you have for
9 all of these years out of your FICA to buy a
10 disability insurance policy.

11 And any one of us -- we are all here
12 because we're working today, we love to work. But
13 any one of us could become disabled tomorrow. We
14 can have a slip and fall in our bathrooms, hit our
15 heads, and suddenly find ourselves with a
16 retractable case of epilepsy. We might be type one
17 diabetic, like the Supreme Court nominee, who is
18 type one diabetic, and suddenly over a period of
19 time finds herself unable to work, because she can't
20 control her A-1-C anymore. Those are the people we
21 serve. Those are the end users.

22 I want to show you with a scientific

1 experiment about how your data that you are going to
2 put together -- and God willing you do a wonderful
3 job with it. I want to show you how it's going to
4 be used at hearings at the Social Security
5 Administration. It is something that's done tens
6 and thousands of times a year. It's happening right
7 now, as we're sitting here today somebody is being
8 asked -- a vocational expert is being asked a
9 question like this, and here is the question.

10 Assume a person is age 47 to 52. That
11 person will be limited to light work with a
12 sit/stand option, limited use of his right hand and
13 arm. No fine manipulation with the right hand. No
14 over head work with the right hand. No lifting and
15 carrying objects weighing over two pounds of weight,
16 and with the sit/stand option.

17 Now, to that, add this question, how many
18 jobs -- rather you have 15 seconds to answer this
19 question, because that's how long they usually give
20 a vocational expert to answer a question like this.
21 What are the jobs that the person is eligible to do,
22 and how many of these jobs exist in the present

1 economy in Chicago and nationally. And think of the
2 answers. And if you would like me to repeat the
3 hypothetical, I will. And I'm going to guess that
4 your time is about up.

5 Now, when I was going to do this
6 originally, I was going to have you write the
7 answers on a piece of paper and hold them all up,
8 including the people in the audience; but it would
9 have been too embarrassing, because nobody would
10 have had the same answers. This case is a published
11 case from the Northern District of Illinois.

12 I have -- I could find hundreds of other
13 cases. I found 427 district court cases that use
14 the search term "hypothetical" in the question
15 within Social Security. I can give you an unlimited
16 supply of these. There is over 40 of them in
17 circuit court cases.

18 The point is that the RFC, the
19 hypothetical question presented by the ALJ. An ALJ
20 sitting in one room with exactly the same set of
21 facts with an ALJ sitting in another room, another
22 hearing; exactly the same set of facts are going to

1 produce radically different RFCs. There is no
2 reliability there.

3 When the question is presented to the
4 vocational expert, you are dealing with a very, very
5 idiosyncratic population of people. The vocational
6 expert has no prior notice of the question. No
7 prior notice of what direction the ALJ is going to
8 go with the case. And he or she hears the question,
9 and like I said, has to respond in about 15 seconds.

10 Not only do they give specific information
11 about the jobs, let's say, sedentary security guard;
12 but they will also say that there is 2,238. They
13 will give very specific numbers. Where do the
14 numbers come from? My experience in doing research
15 for a number of years in writing books about it, and
16 talking to a lot of vocational experts, and handling
17 over 200 cases in district court, and handling
18 hundreds and hundreds of cases at the Social
19 Security Administration is that they make it up.

20 Now, we want to get away from the making
21 it up part of things. But also where -- it's pretty
22 clear that they haven't made it up; that they're

1 relying on some sort of vocational resource.

2 I know attorneys who have been working for
3 a long time to use Rule 702 of the Civil Rules,
4 Rules of Civil Procedure, and the standards of
5 Dalbert as it's applied to civil litigation over a
6 long period of time. We're using that to attack the
7 validity and reliability of vocational information
8 used by the Social Security Administration.

9 If -- I'm suggesting to you today that if
10 you find that you are in a situation as an esteemed
11 Panel -- I generally mean that. There is some
12 really wonderful brain power here, wonderful
13 experiences too. I am very grateful to see you
14 working so hard, thinking so thoughtfully about
15 this.

16 But if you find that you cannot answer --
17 provide a mechanism that allows a job -- an ALJ to
18 really adjudicate these cases fairly, allows the
19 Social Security Administration to adjudicate these
20 cases fairly, please have the courage and the
21 determination and fearlessness to say, we can't do
22 it. You, Mr. and Mrs. Social Security

1 Administration, have asked us to do something that
2 we cannot do.

3 The end user is not the Social Security
4 Administration. The end user is the clients that
5 Beth Alpert represents, and the other attorneys that
6 you will be hearing from over a period of time.
7 It's a person that I called yesterday to tell her we
8 had lost her district court case, and had her sob,
9 and sob, and sob, because she was going to lose her
10 home. This stuff really matters.

11 There are other alternatives. If you
12 can't make this work, don't despair. There is other
13 things that you can do. Tommy Thompson in 1996,
14 working with the Speaker of the House of
15 Representatives, a republican, and a democratic
16 president put together a Welfare-to-Work Program
17 that's used in 50 states around the country, and
18 also going to be used soon in Israel.

19 They take people who are disabled and
20 disadvantaged, and rather than putting them through
21 a long adjudication process, they put them through a
22 process that determines whether or not they can

1 work, and they take the people that marginally can
2 work, and they give them the tools that they need to
3 work. Medical care, training.

4 When I was at Good Will I had people
5 coming in who had never seen an alarm clock who were
6 looking for jobs. I had people coming in from the
7 Milwaukee population who did not know how to dial a
8 telephone to make a call for a job appointment.

9 The Welfare-to-Work Programs around the
10 country can take disabled and disadvantage people
11 and train them to get jobs. And take them from
12 being unemployed and unemployable to being tax
13 payers again.

14 One of the things that I haven't heard
15 mentioned -- and I didn't see any materials -- is
16 cost effectiveness. The disability adjudication
17 program that the Social Security Administration has
18 is a very uncost-effective beast. Rather than
19 spending those millions and millions of dollars to
20 pay attorneys like me, and administrative law
21 judges, and people who review reconsideration
22 denials at the Social Security Administration --

1 state offices all around the country, take that
2 money and put it into a Welfare-to-Work type
3 program, where you take people who are disabled and
4 disadvantaged and come to the Social Security in the
5 first instance and say, I want help. I can't work.
6 I'm losing my job.

7 Take those people, take them by the hand,
8 and gently lead them back into world the work, using
9 all the skills that all of you have. If you use it
10 to just say "no," you are not doing the service to
11 them, and you are not doing a service to yourselves,
12 and you are not doing a service to the country.

13 DR. BARROS-BAILEY: Thank you, Mr. Traver.
14 Ten minutes is up. I'm sorry to cut you off. I
15 just want to see if anybody from the Panel has any
16 questions.

17 Thank you. I appreciate your time.

18 MR. TRAVER: Thank you.

19 DR. BARROS-BAILEY: We have one more
20 person presenting under the public comment, Marcie
21 Goldbloom is our final commenter. Ms. Goldbloom is
22 with Daley De Bofsky & Bryant. I hope I said that

1 correctly. Correct me if I didn't.

2 MS. GOLDBLOOM: Close.

3 DR. BARROS-BAILEY: Welcome.

4 MS. GOLDBLOOM: Thank you very much. I
5 appreciate the opportunity to speak in front of this
6 Panel. My name is Marcie Goldbloom. I am a partner
7 at Daley De Bofsky & Bryant. I spend the great
8 majority of my day representing the various kinds of
9 claimants that Mr. Traver, Ms. Alpert, and Mr. Yates
10 have been talking about.

11 Let me start by saying that in Disney
12 World if we have all the money we can possibly have,
13 Mr. Traver's suggestion might have more legs; but we
14 actually have to deal with the worlds that we are in
15 at the moment.

16 There is no question that a new system
17 needs to be devised for various occupational
18 vocational issues, because DOT, as we know, it's
19 been antiquated. O*Net doesn't work. So it needs
20 to be something new.

21 But we do need to assure that any new
22 system affords each and every claimant a full and

1 fair adjudication to which they're entitled. Any
2 system that Social Security utilizes has to have a
3 degree of flexibility to recognize that these are
4 individual people who all have different far ranging
5 impairments.

6 And as Mr. Traver pointed out, we do very
7 often have clients who end up being described as a
8 49 year old individual with 11th grade education,
9 partially in special ed, who has back problems and
10 depression, and she is obese, and she has carpal
11 tunnel syndrome, so there is a variety of individual
12 impairments with limitations that all have to be
13 taken into consideration.

14 And my concern is that there is going to
15 be a premium put on having a device in place that is
16 neat and has boxes that everything can fit into, and
17 the problem is that these people just don't really
18 fit neatly into boxes; and that has to be kept in
19 mind. Because as Mr. Traver explained, these are
20 very real people, and when they lose claims, they
21 lose their cars, they lose their houses.

22 I have people calling me everyday saying I

1 can't pay my rent. I'm about to get evicted. What
2 do I do? Those are always really difficult phone
3 calls. Most of them are in the process where we
4 haven't actually had a decision yet; but too many of
5 them are after a denial decision, and we have to
6 decide whether to take that case to the District
7 Court or the Court of Appeals. We to take a
8 tremendous number of them. And that brings me to
9 court, and that brings me to the Dalbert case.

10 Regardless of what kind of a model is
11 utilized, Dalbert, from the Supreme Court, basically
12 says that it's got to be reliable, and that's the
13 bottom line. And it says that standards of
14 reliability and relevance under the Federal Rules
15 have to be met.

16 What they look at is the reasoning or
17 methodology underlying the testimony or evidence
18 scientifically valid, and whether or not that
19 reasoning or methodology can properly be applied to
20 the facts and issues. Also, they look at something.
21 They say, can the methodology be tested? They want
22 you to look at the potential rate of error. And if

1 you can't look at the methodology and say well,
2 what's the rate of error going to be here; then, I
3 think you have to go back and reevaluate and say,
4 maybe this isn't going to be reliable enough.

5 I do have faith. I agree. I think there
6 is some marvelous people involved here; and I hope
7 that all of these concerns are taken into
8 consideration, so that down the road we do have a
9 methodology, a system that works both for Social
10 Security's requirements, and to meet the needs of my
11 clients. So I thank you very much.

12 DR. BARROS-BAILEY: Thank you for your
13 time to come here. Are there any questions at all?

14 Okay. Thank you.

15 Thank you to the four public commenters
16 for your time to come here to present to us. We
17 really appreciate it. We are past due for a break.
18 Lets' go ahead and take a break. It is 3:05. Let's
19 come back at 3:20. Okay. Thank you.

20 (Whereupon, a recess was taken.)

21 DR. BARROS-BAILEY: Okay. We're going to
22 go back on the record. At this point our final

1 session for the day will begin with the report of
2 the Chair of Mental Cognitive Subcommittee, followed
3 by Panel discussion and deliberation. I think we
4 will have a little bit of time to come back to any
5 additional comments or questions in terms of the
6 deliberation for physical demands.

7 So at this point I would like to turn it
8 over to David Schretlen.

9 DR. SCHRETLEN: Thank you, Mary.

10 Yes, we will have plenty of time. I
11 notice on the schedule we have 3:30 to 5:00. I'm
12 only going to talk for a few minutes.

13 Basically, since the last meeting -- or
14 leading up to the last meeting, we reviewed
15 published literature that has addressed the question
16 of what are the underlying or latent dimensions of
17 human cognitive functioning that might merit
18 inclusion in a mental residual functional capacity
19 assessment. That is a huge literature. And I
20 presented some of those findings at the last
21 quarterly meeting, and pointed out that, in fact,
22 there are probably a variety of ways you can carve

1 up the pie of cognitive functioning, if you will.
2 And to varying numbers of slices that are
3 scientifically defensible, and will account for the
4 bulk of variability and cognitive functioning. And
5 we know that cognitive functioning is very important
6 to work.

7 However, I thought that it was going to be
8 more difficult to figure out the important
9 dimensions of psychological and interpersonal and
10 emotional functioning. Those are in many ways a bit
11 softer than our neuro cognitive performance
12 variables, which I think we can measure fairly
13 reliably and fairly efficiently.

14 So in order to approach that, the mental
15 cognitive subcommittee, after some discussion,
16 decided to convene a roundtable, and the roundtable
17 was -- the aim of the roundtable was to draw on the
18 expertise of others outside of the mental cognitive
19 committee who have spent time working with
20 individuals who have various medical neurological
21 and psychiatric disorders that interfere with work.

22 And these are experts who work with

1 patients clinically, or who have done research
2 involving factors that are limiting factors in terms
3 of the ability to work, or factors that enable
4 people to get back to work with rehabilitation. So
5 we tried to draw a fairly wide -- in a short period
6 of time, a matter of a couple of weeks, Debra did
7 the heavy lifting of contacting a number of people
8 that were nominated by Bob Fraser and others on the
9 Mental Cognitive Committee. We looked into the
10 literature. We went on the internet and found
11 centers; and in fact, although, we invited quite a
12 few people, it took a while to get together a group
13 of experts who could join us on such short order.

14 We had that meeting this Monday, and it
15 was an all day meeting. And ultimately, in addition
16 to the panel members of that subcommittee and other
17 SSA staff, we -- included in the roundtable were
18 Dr. Gary Bond from the University of Indiana,
19 Purdue, who actually told us that he is going to be
20 going to Dartmouth University; but he is a very,
21 very accomplished rehabilitation psychologist who
22 has been publishing for many years, scores of

1 articles on factors that influence the ability to
2 work in individuals with psychiatric and
3 neuropsychiatric disorders.

4 We also had Dr. Susan Bruyere from Cornell
5 University where she is a director of a Disability
6 Institute that conducts a great deal of research,
7 provides some services, but primarily research. And
8 Sally Rogers, also a psychologist. She is from
9 Boston University, and has done an enormous amount
10 of research in terms of situational kinds of
11 assessments of work-relevant abilities.

12 In addition, we had Lynda Payne.
13 Dr. Lynda Payne was a psychologist and a consultant
14 examiner for DDS. She regularly evaluates medical
15 records to determine -- to make determinations of
16 mental residual functional capacity.

17 And finally, Dr. Pamela Warren, a
18 vocationally-oriented psychologist in private
19 practice, and also is associated with the University
20 of Illinois.

21 So we really had a very broad
22 representation on the roundtable. I think it was a

1 really very helpful group. And the question that we
2 put to them were four fold.

3 First, we asked each of the guest whether
4 they thought the existing mental residual functional
5 capacity assessment tool is adequate or needs
6 revision? And not surprisingly, there was uniform
7 agreement that it does.

8 The second question we asked them was, for
9 each person to think about -- ahead of time based on
10 their clinical experience or research experience
11 what are the -- to develop a list of about ten core
12 dimensions or categories of emotional,
13 interpersonal, psychological functioning that can be
14 impaired by disease or illness; and if impaired,
15 make it difficult for a person to work.

16 And we asked people to be -- to try and be
17 as parsimonious as possible. So to come up with
18 maybe ten or so. And to -- to try to cover the
19 water front in terms of the abilities or dimensions
20 of human functioning that they think are most
21 relevant to a person's ability to work.

22 And then our third question was --

1 DR. GIBSON: Analysis.

2 DR. SCHRETLEN: Oh, yes. We asked people
3 to let us know if they were aware of existing
4 literature or databases of analyses of person of
5 psychological or interpersonal function, and their
6 relationship to work ability or disability.

7 And finally, we asked people to spend some
8 time thinking about how -- how we ought to go about
9 trying to measure these things. What would be the
10 most useful way to measure? And whether that's
11 rating, or direct observation, and so forth.

12 What came back was a very useful response
13 from the roundtable participants. People did give
14 us lists, and we will be compiling those lists and
15 trying to look -- search for commonalities.

16 It was amazing how many times certain
17 things came up. In almost everyone's list we found
18 that people brought up issues related to many of the
19 issues that are on the existing mental residual
20 functional capacity questionnaire. That is, matters
21 related to persistence, to concentration, to a
22 person's ability to deal with other people, and to

1 cope with or respond effectively to supervision, and
2 to deal effectively with co-workers, to comport
3 themselves, to make -- to understand and follow
4 directions, to express themselves, and to understand
5 language.

6 So these were, you know, sort of facets of
7 functioning, if you will, that were repeatedly
8 mentioned by various Panel members. And our next
9 step is to sort of go through and develop a matrix
10 of abilities by panelists, and try and identify what
11 are the overlapping areas, and what are the areas
12 that might be important, but unique, and not
13 captured by multiple people.

14 So that's what -- so Dr. Fraser and I will
15 be working on that. And once we have done that, the
16 next step is -- after circulating among the Mental
17 Cognitive Subcommittee a provisional draft list of
18 dimensions or categories of ability. What we would
19 like to do is -- and this was Dr. Fraser's idea. I
20 think it is an excellent idea -- is to do a survey
21 of consulting examiners, like Dr. Payne, and some
22 adjudicators, and some experts in the field.

1 Some of the roundtable participants, we
2 will go back to them; but also some additional
3 individuals who have expertise in those areas, and
4 ask them what they think of those items. How the --
5 whether they think they are important to include.
6 Whether they think that we are not including some
7 essential elements. Whether they, you know, have
8 suggestions about how to word the items. In other
9 words, our goal is to make sure that people who will
10 be using any instrument designed to assess residual
11 mental and functional capacities have had a chance
12 to give us some input along the way, so that we're
13 going to be trying to balance what we know sort of
14 from scientific evidence about what are predictors
15 of work disability and return to work, and
16 individuals with neurological and psychiatric
17 disorders, with what are measurable, observable
18 characteristics.

19 What people who are in the front lines who
20 are adjudicators and consulting examiners recognize,
21 based on their experience, as characteristics that
22 they can -- that one can elicit reliable ratings of,

1 that we can measure in a reliable and valid way.

2 And once we have constructed that initial
3 list, we will circulate it in a survey fashion to
4 get feedback; and we will use that feedback to
5 continue to refine the list. And in all likelihood,
6 have some additional rounds of feedback, but it may
7 be after the September deadline at that point. But
8 we will be using that kind of feedback to nominate
9 some candidate aspects of cognitive functioning to
10 include into a mental residual functional capacity
11 assessment.

12 In addition, and finally, at the
13 roundtable we also went over the existing MRFC
14 assessment document. And we asked panelist and
15 guests to comment on the items. And it was
16 remarkable how much consistency there was in terms
17 of the difficulty with the items. So that we went
18 through each item and identified what people who
19 work with this questionnaire feel are the problems.

20 So on just -- for example, the very first
21 item, the ability to remember locations and
22 work-like procedures. You know, we heard several

1 people say, well, locations of what? Locations of
2 where you work. Or where the tools are that you
3 need to work with. And why are we asking about
4 work-like procedures rather than just work
5 procedures?

6 So people -- panelists had comments about
7 all of the items on these, and not all of them were
8 negative. I think that there were a number of items
9 on here that people recognize could be very useful.
10 We would not want to throw out. In fact, there are
11 probably a number of items on here that we will
12 either retain as is, or with minor modification.

13 So -- so let me see. The issues that came
14 up again and again in terms of the limitations of
15 the existing items, are that some items are
16 compound. Does a person have an A or B? That's a
17 problem, because a person may have a limitation in
18 one area, but not another. So it's confusing for
19 anyone who is going to be -- for adjudicators or
20 anyone having to make a decision.

21 Another issue that came up repeatedly is
22 that the questions are all cross sectional. How

1 does the person do this? But in fact, many diseases
2 and conditions are relapsing and remitting
3 conditions, and things change over time. And so
4 there is widespread agreement that we need to better
5 capture longitudinal aspects of limitations. That
6 someone might not have a -- you know, an impairment
7 of concentration all the time, but intermittently
8 they have a terrible time with concentration.

9 If someone has relapsing and remitting
10 bipolar disorder, they may have episodes of really
11 severe depression and they can't get out of bed, or
12 become quite grandiose and they are unable to relate
13 to others. When they're between episodes, they are
14 quite reasonable. So we need the instrument. I
15 think people recognize the need to somehow capture
16 longitudinal aspects of psychological functioning.

17 There is -- a third issue is inadequate
18 quantification. Many of the wording -- many of the
19 items have words like -- just, for example, the
20 ability to understand or remember very short and
21 simple instructions. Deep bursts and other items,
22 or detailed instructions. It is just sometimes

1 difficult to know with adjectives like that, and a
2 number of people said it would be helpful to have a
3 little bit more precise quantification of
4 limitations in these areas.

5 And then I think that as we talked about
6 it, there seem to be very little in the way of an
7 overarching, conceptual model. There is like a list
8 of items, and the items are listed on the MRFC in a
9 somewhat idiocratic fashion. So the first cluster
10 is understanding and memory, which are cognitive
11 abilities. Then we go down to sustained
12 concentration and persistence.

13 Sustained concentration -- concentration
14 is a cognitive ability, but persistence probably has
15 more to do with in some ways energy initiative,
16 capacity to get up and get out of bed and stay at
17 work and so forth. And so we talked about the
18 needs -- you know, we think that it would be helpful
19 to have a more coherent conceptual organization of
20 the items that are considered in the course of a
21 mental residual functional capacity assessment.

22 I think that those were the major. And

1 then notably, some inconsistent coverage. Some
2 items were covered -- some areas are covered in more
3 detail than is probably necessary. They might have
4 multiple items. For instance, it might be useful
5 instead of having one dimension be the ability to
6 follow simple instructions, and another one to
7 follow detailed instructions, to have something like
8 a single item that can this person, following simple
9 instructions, moderately complex, or highly complex
10 instructions? So that it's a single area, but rated
11 more on a continuum.

12 Now, you know, we're not at the point
13 where we're talking about how we are going to --
14 we're not developing the measures. We are just
15 trying to identify the areas. In the process of
16 doing that, I think it makes sense for us to
17 consider what are the sort of most parsimonious and
18 simplest and most direct ways to assess these, you
19 know, a relatively small number of -- small and
20 comprehensive number of dimensions or categories of
21 functioning.

22 Anyway, those were the major things that

1 we have been working on in the mental cognitive
2 subcommittee. And our plans for the next couple of
3 months -- and I wonder, Bob, if you have anything to
4 add or others on the committee have anything to add.

5 DR. FRASER: One or two points. I think
6 you did a great job, Dave.

7 The one point you mentioned was kind of a
8 longitudinal perspective. Because Dr. Payne pointed
9 out that item eleven becomes the potpourri of
10 perception item, because it has the parameter of
11 over a work month, which no other item has. We
12 probably should use that context for, in fact, all
13 the items.

14 Just to underscore, you know, we want to
15 be -- hit the most salient and comprehensive
16 dimensions that we can; but also the emphasis on
17 parsimony. Having been a VE myself for 20 years, as
18 a council trainer, and granted the secondo of
19 hypotheses that come at you, can you imagine if
20 these are cognitive or interpersonal behavior, and
21 have your 15 or 20 seconds to go through the
22 thousands of job categories is very hard to do. It

1 has to be useful, as he pointed out, because,
2 ultimately, our applicant's, you know, economic
3 self-sufficiency is at stake. It has also got to be
4 usable to the VE, and the other experts in the room.

5 DR. BARROS-BAILEY: Does anybody have
6 questions? Okay. Well, thank you. That was great.

7 And it's my understanding that we had a
8 second roundtable scheduled for July that we may not
9 need.

10 DR. SCHRETLEN: Yes. Thank you. We had
11 planned to have a second roundtable in part because
12 we did this so rapidly we were really having trouble
13 finding people who would be willing to come in and
14 share their expertise with us. We thought well, if
15 you won't come in June, would you come in July. We
16 thought we would have a second roundtable.

17 But at this point what we have got back
18 was really so helpful that I'm not sure -- I think
19 we have discussed whether we need a second
20 roundtable. At this point we're thinking it might
21 be more useful to just do the survey, and get some
22 feedback from DDS folks who are dealing with these

1 issues everyday, so that they can let us know
2 whether the categories of functioning that we are
3 thinking about are the categories that they think
4 are important to assess.

5 You know, just very, very provisionally we
6 have talked about sort of dividing the field, if you
7 will, into three major categories. One is
8 cognitive -- sort of a cognitive decision making
9 information processing set. And then interpersonal,
10 how the individual deals with other people. And
11 then a third set related to self-management,
12 behavioral self-management. How you can comport
13 yourself, and things like hygiene, and so forth.

14 So that's how we're thinking of it at this
15 point; but again, it's very -- it's very tentative.
16 It could change completely.

17 DR. BARROS-BAILEY: Sylvia.

18 MS. KARMAN: Hi. I just wanted to ask --
19 or at least ask for clarification. I know when we
20 spoke yesterday in our subcommittee meeting, David
21 and Bob, we had thought about also including in the
22 survey the experts who had met with us on Monday,

1 and possibly any of those people that we had
2 identified to meet with us in July. And so I was
3 just wanting to know did you guys still want to do
4 that?

5 DR. SCHRETLEN: Yes. I thought I said
6 that.

7 MS. KARMAN: I'm sorry.

8 DR. SCHRETLEN: No, no; that's okay. I
9 meant to say it, that we're going to definitely talk
10 to the people that participated, and also the people
11 who we reached out to in the second roundtable and
12 asked if they could do that.

13 MS. KARMAN: Okay. Great.

14 DR. FRASER: I was just thinking, based on
15 counsel Traver's comments, we might also want to
16 include a sample of VEs in this area too, because
17 they have to respond to the criteria, as do the
18 psychologists.

19 MS. KARMAN: Actually, we love that idea.
20 I know that's one of the things we want to be able
21 to do in our user needs analyses. Some of the user
22 needs analyses that we have done up to date will

1 also shed some light on the mental cognitive issues
2 that adjudicators think are primary.

3 But one of the issues that we face with
4 surveying people external to the Agency is the OMB,
5 the Office of Management and Budget Paperwork
6 Reduction Act requirement to not place a burden on
7 the public. And we have to get our surveys reviewed
8 by them if we go over a certain number of people,
9 like, I think it's nine.

10 DR. FRASER: Nine?

11 MS. KARMAN: Yes.

12 DR. SCHRETLEN: Can the survey have two
13 parts?

14 MS. KARMAN: So I think we -- we will talk
15 about how we can deal with that. I just want to
16 mention that. We didn't get as far as that
17 discussion yesterday, I don't think.

18 DR. BARROS-BAILEY: Nancy.

19 MS. SHOR: I just wanted to add one item
20 as you are looking at the mental RFC form. Not only
21 the categories, but I have heard frequently there is
22 a lot of confusion about what the form is intending

1 on marked and moderate. So that might be something
2 to add to your survey.

3 DR. SCHRETLEN: Yes, thank you very much,
4 Nancy. That's a really important question. Just so
5 you know and everybody knows, that was something
6 that we discussed at some length that clearly there
7 is widespread dissatisfaction with the current
8 rating system of not significantly limited,
9 moderately limited, markedly limited, no evidence of
10 limitation, or not ratable. Particularly the first
11 three, not significantly, moderately and markedly.

12 There are a number of ways that we can
13 address this. One way we can address this is with
14 behavioral anchors. So we have very concrete
15 descriptors of what we think is indicated by varying
16 levels of impairment in that dimension.

17 Another possibility is to do it sort of
18 distributionally, below the average, you know, in an
19 average range above, and sort of describe that
20 across different items, so that they're on the same
21 sort of scaling. But there are other approaches
22 that we can take as well. So we have definitely

1 considered, and we will continue to consider.

2 In fact, it may be that the deliverable
3 that we provide in September will just outline some
4 of the possibilities; and in fact some of the items
5 we might want to code in terms of frequency. Like
6 the person has difficulty, you know, getting out of
7 bed, you know, less than once a week, you know, more
8 than once a week sort of thing.

9 In other words, we might even be able to
10 put frequency in the responses to the item. So
11 that's what rated by the clinician or the consulting
12 examiner, or the, you know, family member is
13 something more descriptive quantitatively than the
14 existing boxes.

15 MS. KARMAN: Something else that occurred
16 to me, David. We also talked yesterday about
17 involving the taxonomy and classification
18 subcommittee. So I have not spoken to either Shanan
19 or Mark. I don't know whether you had. So I don't
20 know, this might be a good time to mention to them
21 what you had in mind.

22 DR. SCHRETLEN: Yes, in fact, Mark has

1 heard it, because he was there at the table; but
2 Shanan hasn't. That is, obviously we're trying to
3 build a bridge between the person and job demand.
4 So it makes sense for you guys to have -- on the job
5 taxonomy side to have input, say, look, this is
6 something no way we can measure it. Or maybe there
7 is a way to measure it. And there might be some
8 aspects of human functioning that we think are
9 important to rate, and there are sort of threshold
10 items. It doesn't matter whether they are job
11 demands or not. Every job demands that you get out
12 of bed -- pretty much every job.

13 So it may be there are -- it's not really
14 relevant whether it's part of the -- you know, in
15 the job taxonomy, in the job demands assessment.
16 But on the other hand, it would be really helpful to
17 have on the job side to say these things are
18 characteristics, that this would map on to the
19 dimension of job complexity. This would map on to
20 the dimension of job exposure to the public, and so
21 forth.

22 MS. KARMAN: One of the things I'm

1 thinking of actually is that, David, when you were
2 talking earlier about -- and Nancy brought up marked
3 to moderate, I know we're not creating a form. Just
4 so we can address that work to person link, it's
5 very possible that Mark and Shanan will be able to
6 help us out with some -- to some degree with a
7 recommendation with regard to measurement issues
8 that SSA might need to consider there. Because the
9 way it's measured in the world of work needs to
10 somehow translate into, well, what would the link
11 then be with a person, you know? So that's where I
12 was coming from, is what I was thinking about.

13 The other thing that I was thinking of
14 was -- I don't know if -- I was not able to go to
15 the Physical Subcommittee meeting yesterday, because
16 I was on the other subcommittee. I'm thinking we
17 need to talk about psychomotor; and if there is an
18 overlap there between the two committees. I don't
19 know if anybody has already talked about this or
20 not, so I don't want to.

21 DR. BARROS-BAILEY: I talked to Deb about
22 it earlier; but I don't think that two subcommittees

1 have talked about it.

2 MS. LECHNER: I think we just assumed that
3 some of the psycho -- if you are referring to things
4 like coordination, and the sensory pieces, I think
5 that we were assuming that that would -- that we
6 would have to deal with that. I would be happy to
7 let you deal with it whatever way you want to.

8 DR. SCHRETLEN: Have at it.

9 MS. LECHNER: I tried, Mary.

10 DR. BARROS-BAILEY: Okay. Any other
11 questions? Anything else, David? Bob?

12 DR. SCHRETLEN: No, just to -- something
13 that occurred to me, maybe actually sort of rolling
14 over to the next little phase here. That is, I'm
15 not entirely sure where pain fits in. Is pain
16 psychological or is pain physical? Is that sort of
17 the enduring mind/body question? Is it the age-old
18 question of civilization, 1,000 years.

19 MS. LECHNER: That's absolutely
20 psychological.

21 DR. SCHRETLEN: I thought it was physical.

22 DR. WILSON: It's definitely not work

1 analysis.

2 DR. SCHRETLEN: It's not work. That's
3 what we are talking about. I mean, there is no work
4 that's -- you know, that requires pain, you know
5 what I mean?

6 DR. ANDERSSON: Actually, you can ignore
7 it for the purpose of describing jobs, because it's
8 not a descriptor of a job that I know of.

9 DR. SCHRETLEN: Right.

10 DR. ANDERSSON: So we do not have to worry
11 about it.

12 DR. SCHRETLEN: But we do have to worry
13 about on the person side.

14 DR. ANDERSSON: That's different. That's
15 not the Panel's problem. That's your problem.

16 MS. KARMAN: I would like some help with
17 that. But actually, I mean, maybe what -- to the
18 extent it's something that the Panel would want to
19 consider, the effects of pain -- so in other words,
20 if I'm in a lot of pain, I'm going to have trouble
21 concentrating. We're considering it in that
22 respect. He is shaking his head.

1 DR. ANDERSSON: I am, because I hope you
2 are not, and because I think it is -- I mean, your
3 question is very -- a very pertinent question, and
4 there is a good understanding of this. To some
5 degree, pain is a central nervous system issue; but
6 to what degree -- acute pain is fairly simply to
7 understand and identify; but when you get to the
8 issue of chronic pain, and it's always a question to
9 what degree is it a central nervous system problem,
10 or to what degree is it some type of intercellular
11 problem, which at this point you don't understand?
12 You can argue back and forth about that. You are
13 not going to get anywhere, unfortunately.

14 DR. BARROS-BAILEY: Tom.

15 MR. HARDY: Well, as a vocational
16 counselor and as an attorney, I have to say that
17 pain is a disabling factor that comes up at
18 different parts of the evaluation process; and
19 therefore, is an area we have to get. Along with
20 the ignored areas, I would like to -- since we are
21 moving into deliberation, I think -- I would like to
22 hear us talk a little bit --

1 DR. BARROS-BAILEY: We're there.

2 MR. HARDY: I think I would like to hear
3 us talk a little bit about sensorium and hazards.
4 I'm not sure where they lie, and who is picking
5 those up with the pain problem.

6 DR. ANDERSSON: Tom, I'm not sure I
7 understand you. Because I don't think that pain
8 enters into what I would call for physical and
9 psychological environmental, description of a job.

10 DR. BARROS-BAILEY: Right. I think maybe
11 what we're talking about is the difference between
12 the diagnosis and the function. So inasmuch as
13 we're dealing with function and how that function
14 works on the person side and the work side, I think
15 that's where we will need to maybe be concentrating
16 a little bit. Deb.

17 MS. LECHNER: On the physical side of
18 things when you are measuring physical function, if
19 pain is present, it's going to affect the physical
20 performance on the testing. So there will be -- for
21 example, if there is lower extremity pain, there
22 will be an antalgic gait. That will then affect

1 their ability to walk and climb stairs and do some
2 other activities. And will affect how they end up
3 scoring on the test, regardless of whether they
4 report that pain as a two or an eight or a five.

5 The actual manifestation of the pain, when
6 it's present, will affect the outcome of the
7 testing. And I would assume the same thing in the
8 mental cognitive area. If pain is truly interfering
9 with concentration, it would show up on a test of
10 concentration.

11 DR. BARROS-BAILEY: I think what I was
12 saying is whether it's the affect -- functional
13 affect is physical or cognitive or mental, there are
14 other things that we're going to be looking at
15 besides just pain that would also have those
16 functional results; and those are what we're looking
17 at is the function, not the diagnosis that leads to
18 that function.

19 MS. KARMAN: Yes, I think that -- I mean,
20 if I'm understanding Gunnar properly, I would say
21 that it's true that the way in which Social Security
22 Administration evaluates pain is a policy issue. So

1 what the Panel has to deal with is, you know, will
2 we be able to obtain information on the world of
3 work and make that link back to the person's
4 function in a way that enables us to assess the
5 extent to which X, Y, Z affects function?

6 It may be pain; it could be a lot of other
7 things. The point, only for our purposes -- for
8 Social Security's purpose -- at least the way I'm
9 seeing it -- would be that that might help us to be
10 able to think in terms of a more holistic assessment
11 of the human being, rather than just this -- it is
12 over here, it is somatic; it's RFC. Oh, it is over
13 here, it's mental impairment; that's mental RFC.
14 Not necessarily.

15 You can have a somatic impairment, and,
16 you know, we would need to fill out -- I mean, to
17 get out of the mind set of filling out a form, you
18 would need to assess the person's function in both
19 the mental cognitive and physical area. So I don't
20 know if that's kind of getting at your point.

21 DR. ANDERSSON: Yes, I think it is. I
22 think it's a question of us describing what the job

1 criteria or the job description is; and then you
2 have to evaluate whether or not the person can, in
3 fact, do that, in which case pain plays a big role.

4 DR. SCHRETLEN: I suppose one way of
5 thinking about it is analogously. People with
6 certain psychiatric disorders have hallucinations.
7 We're not really concerned about -- we don't have to
8 rate on the MRFC whether they have hallucinations,
9 but whether they talk to unseen others at work, and
10 something like that, where they appear to be
11 disorganized in their thinking.

12 So if a person has pain, but despite the
13 pain can excerpt force, and has some level of range
14 of motion, and can concentrate, and so on, and so
15 forth; then it's -- in a sense it's irrelevant that
16 they have it, to the extent that they're not limited
17 in those dimensions.

18 DR. BARROS-BAILEY: Okay. Thank you.

19 Tom, you had a question earlier in terms
20 of hazard and sensory, if that was being addressed.

21 MR. HARDY: And where?

22 DR. BARROS-BAILEY: Okay. Deb, you want

1 to address that?

2 MS. LECHNER: We have a section that we're
3 addressing sensory -- you know, the smelling,
4 hearing, that tactile sensation. Then the hazard
5 piece, I think, comes into play in an environment.
6 So we're documenting the chemical -- the presence of
7 chemical exposure or air quality, noise, vibration,
8 and lighting; and that kind of stuff.

9 DR. BARROS-BAILEY: Dave, I think on
10 Monday we were also talking about safety kinds of
11 issues in terms of the mental cognitive. Do you
12 remember that discussion? We mentioned it earlier.

13 DR. SCHRETLEN: No, I don't.

14 DR. BARROS-BAILEY: Go ahead.

15 DR. GIBSON: I would just add as part of
16 the work taxonomy many of those factors often fall
17 out naturally. If you go back and look at the
18 dimensions we have, working hazardous situations,
19 for example, is on the list. Working with moving
20 equipment will also be on the list. Sometimes
21 that's actually part of the work context, which
22 shows up in that taxonomy as well.

1 DR. FRASER: Just in terms of the ratings,
2 where we need help in terms of industrial
3 psychology. The rates should look more like those
4 used on performance evaluations. You know,
5 minimally acceptable means of improving. You know,
6 something along those lines versus what we have now,
7 which does not really relate to job function very
8 well. So that's where maybe we need your help.

9 DR. BARROS-BAILEY: If you are not using
10 your mike, you might want to turn it off. I don't
11 know if that's why we're getting feedback.

12 DR. SCHRETLEN: Right, some of the
13 alternatives at some point.

14 And again, we are not making a forum here.
15 That is not going to be a part of the deliverable;
16 but we want to think about it, because it might
17 impact the way we word things, and how we organize
18 it.

19 We had talked about -- Bob and I had
20 talked about the possibility of could some of the
21 alternatives be framed in terms of work complexity?
22 Since R.J. has shown us so clearly how important

1 work complexity is, it might be that decision
2 making, understanding, structuring, and so on.
3 Could it be framed in terms of, could a person do
4 this in terms of low complexity work, average
5 complexity work, or high complexity work in some
6 way? Maybe it's -- you know, maybe it is more
7 discrete than three.

8 Actually, I think there is a lot of
9 evidence that having a relatively small number of
10 categories, three or five, that actually tends to
11 yield more reliable ratings than when you have ten
12 alternatives. But that's another thing we wondered
13 about, whether we could frame some of the dimensions
14 rating in terms of -- I wonder if clinicians might
15 be better able to -- can this person do this kind of
16 thing at the level of low complexity work, for
17 example; then list some low complexity kinds of
18 jobs, or moderate, or average complexity, or high
19 complexity. That might help anchor raters thinking.
20 Help them -- anyway.

21 DR. BARROS-BAILEY: Before we went into
22 the public comment, we were having quite a bit of

1 discussion as a result of the physical demands
2 subcommittee; and I kind of cut that short so we
3 could go into the public comment. I wanted to bring
4 that back up again to see if we -- if people had
5 additional questions.

6 I know that the kind of question on the
7 table was the link of physical demands to task. Go
8 ahead, David.

9 DR. SCHRETLEN: Yes, actually I did,
10 because it occurred to me, Deb, that the list of --
11 in your outline -- shoot, I'm not seeing it. In
12 your outline of physical -- categories of physical
13 demands, manual materials, position, tolerance,
14 mobility, movement, hand function, things like that;
15 and you had some others on your lists.

16 Have you -- it occurred to me that -- I
17 remembered Dr. Harvey's presentation of the factor
18 analytic findings from the varying job taxonomies,
19 and that some of the lower level factors in the sort
20 of five, six, seven range had a lot of the words
21 that were coming up on your slides. I'm wondering
22 have you made an attempt to sort of map on to the

1 factor analytic findings of what you think of is the
2 major demands of physical assessment or functional?
3 Do you think that might be useful?

4 MS. LECHNER: Only in the sense that in
5 looking at the PAQ and the CMQ, what I did in terms
6 of the -- just comparison of the different systems
7 is essentially a very similar exercise that I think
8 Mark and Shanan went through for the broader
9 taxonomies, just to list all of the physical demands
10 that were categorized in the systems. And then, you
11 know -- so that you could look across a single
12 dimension and say well, you know, all three of them
13 address stooping, and all three of them address
14 handling. Is that what you were meaning?

15 DR. SCHRETLEN: It's just they had done a
16 sort of more quantitative analysis of what are the --
17 what are the core characteristics that differentiate
18 among occupations based on a broad array of job
19 taxonomies. And I just was struck by how much
20 overlap there was with some of the words that appear
21 on the physical RFC assessments, pushing, pulling,
22 you know, so on. And they appear on those. And I

1 wonder if they could provide some guidance.

2 Because one of the questions I heard you
3 saying is it is not clear whether it makes sense to
4 expand beyond this or not. Maybe one way of helping
5 to decide that would be to look at what our
6 colleagues have found here.

7 DR. ANDERSSON: I mean, you could describe
8 this as -- if you go to the taxonomy that we talked
9 about yesterday, we can go back and quote mega
10 activities would be manual material handling, for
11 example; and all these different things underneath
12 would be, you know, occupational activities that are
13 related to this mega activity. And I don't know how
14 useful it is for the purposes of describing the
15 jobs, and I have been sort of trying to figure out
16 how to best incorporate some of these things that we
17 have been talking about in our subcommittee.

18 I actually look at this as fairly simple.
19 And the reason I look at it as simple is that all
20 we're trying to do is describe the job. If we were
21 trying to describe whether or not the job was
22 harmful, I would be really open; but all we're

1 trying to do is describe the job. So what you do is
2 you divide it into what kind of -- from a physical
3 point of view, you are concerned about the posture
4 of any part of the body and of the body itself. You
5 are concerned about movements that you either do
6 with your entire body or with parts of your body.
7 And you are concerned about what you are doing with
8 your movements; lifting, pushing, pulling, turning,
9 twisting, whatever else it is.

10 And all you really have to do is list the
11 ones. Then you can go out to any job, and you can
12 basically describe the job in those functions. I am
13 probably looking at it too simplistically, but --

14 DR. SCHRETLEN: No, I don't think you are.
15 But I think that, you know, as we look over -- as
16 Deb presented some of these systems, there -- like
17 the RULA, and the OWAS -- I mean, these are
18 incredibly detailed, complex system. I agree with
19 you. I think it's going to be important to pare it
20 down to the most parsimonious system.

21 DR. ANDERSSON: Right. And you have to
22 remember that many of those ergonomic systems have

1 been developed as an economist doing the evaluation
2 from the job. You have to somehow report the
3 finding in some terms. You have to make sure that
4 what you are reporting is truly representative of
5 the job that you are analyzing.

6 Now, we're looking at much broader
7 categories. And if I were to go into a specific
8 workplace and look at the specific job, I would
9 probably also use some of these devices to more
10 specifically analyze the job. That might help me
11 suggest changes that would make the job easier in
12 many ways for the worker, or for putting a disabled
13 person back to work. But if I'm just trying to
14 describe the job, I probably wouldn't use any of
15 those. I think they're just too complicated.

16 DR. BARROS-BAILEY: Mark.

17 DR. WILSON: I think it's important to
18 keep in mind -- and I made this observation before,
19 but I think it's difficult for people who actually
20 practice in this area and do this work to think in
21 the same way that people like me think about work;
22 and this sort of factor analytic dimensional

1 approach to things, as opposed to the actual
2 operational level which, you know, we would describe
3 it as being done at the item level, or in the weeds
4 or things of that nature.

5 That's not a bad thing, but if you look at
6 the task that's before us, it's hard not to think in
7 terms of those items; but in order to be successful
8 we have to identify, I think, empirically valid
9 taxonomies that force us to look at the entire water
10 front. You know, we may at some point decide there
11 are parts of the water front we're not that
12 interested in. So what I thought David was asking
13 about -- I wanted to clarify this -- we were talking
14 about the -- sort of the crosswalk to what Debra was
15 saying earlier to the sort of empirical analysis of
16 the DOT data. I thought there was kind of a
17 striking similarity here.

18 You know, after you get past data people
19 things, which, you know, no surprise that those of
20 us in the area emerge very quickly, all the next
21 ones, gross, postural, reaching, handling, color,
22 sensory, gross body movement, visual --

1 DR. SCHRETLEN: Exactly.

2 DR. WILSON: Unpleasant for Tom, who's
3 nodding off over there. Unpleasant hazards, and
4 then precision work. What we were doing in our
5 committee -- and I see this is useful for -- and I
6 also felt the same way about a couple of Debra's
7 slides -- is that helps us not so much to provide
8 guidance; although, I think it's important to
9 maybe -- and we did that in one of our fact findings
10 earlier in one table for illustrative purposes. You
11 know, it's hard for sometimes people to really get
12 what we're talking about when you're doing all this
13 factor analysis and things of that sort. What's
14 important is to give examples.

15 But the reason that the taxonomic work is
16 important, the reason that these factor analyses and
17 reviews of the literature are important is so that
18 you don't need some major area of physical or
19 cognitive or interpersonal activity unexplored at
20 least from a due diligence standpoint. It could be
21 at some point Social Security says, that's nice,
22 Mark, but we're not interested in that. You know,

1 we don't want to go there. Maybe somebody else
2 does. But I feel it's important for us to at least
3 make them aware of what the empirical literature
4 says of what's been found.

5 And I think the other thing here that we
6 have to remember is, you know, once you get past the
7 position, you know, the specific activities that one
8 individual does in the workplace, all of this stuff
9 is a distraction. One person's organization of how
10 they describe jobs can be very different from the
11 same activities in another organization, very
12 different job titles, very different groupings. So
13 you can almost think of these other levels of
14 analyses, whether we talk about these as tasks, or
15 meta tasks, or generalized work activities, or
16 factor scores, or OUs or level one or five; yeah,
17 they're different levels of precision, but there is
18 almost an infinite level of strengths on that
19 microscope that you can make.

20 And I think the task for us is really --
21 I'm going to say it again -- daunting in the sense
22 that we have to hit exactly the right level of

1 specificity here. We can't overwhelm the system.
2 We can't demand too much of these people who are
3 under a lot of production pressure in terms of the
4 amount of detail. But at the same time if we don't
5 make it detailed enough, then, it doesn't allow them
6 to make the important distinctions that we heard
7 over and over again are important, and needs to be
8 there.

9 So any way that I can help in terms of
10 clarifying the work side analysis of what it is
11 we're talking about, what the differences between
12 task analysis and generalized work analysis and
13 things of that sort, I would be happy to do.

14 DR. ANDERSSON: I think at the same time
15 it becomes important to classify jobs such that you
16 can analyze a disabled person when you are telling
17 them whether or not they can do the job. So you
18 have to have some kind of reasonable level.

19 Today, for example, in the musculoskeletal
20 area, it is fairly common to do a functional
21 capacity evaluation. Same too, a certain number of
22 things that you ask the person to do and determine

1 whether or not they can do it. If you become too
2 detailed, it just falls apart. If you require those
3 to go on for days and weeks, it just isn't possible.
4 So if you have some connection to what you can
5 objectively determine about the individual too.

6 MS. LECHNER: I would agree with that.
7 The other thing that makes it a bit of a
8 challenge -- you know, just take the manual
9 materials handling activities as an example. If we
10 did some sort of factor analysis, we could probably
11 take out one representative manual materials
12 handling task that would be fairly -- you know, if
13 they score this way on this, most people are going
14 to score similarly on the rest of the items in that
15 battery of tests. So you could probably identify
16 that factor analysis.

17 Some of the challenges on the person side
18 when they come in to be tested -- you know, for
19 example, if we decide our representative task for
20 that manual materials handling section to be a floor
21 to waist lift, and that really predicts most of the
22 other performance, and most of the other test items;

1 then somebody walks in with a shoulder problem, they
2 can do a good floor to waist lift. It's not until
3 you ask them to lift above waist that you begin to
4 see their deficit.

5 So if a job requires -- or the occupation
6 requires a lot of above waist lifting, then, you are
7 going to miss that whole mix match between the
8 person and the job. So it kind of speaks to that
9 whole issue of you have got to -- we could probably
10 cluster a lot of these things and develop a screen
11 that would take 30 minutes instead of four hours.
12 But we may have to do that by diagnosis or by body
13 part if we want to get anything that's really
14 meaningful to that individual claimant.

15 DR. WILSON: Or even maybe to sort of
16 extend that idea, perhaps there is some sort of
17 hierarchical range we can identify that, you know,
18 rules in, rules out various kinds of measures. So
19 that -- and we keep Gunnar happy.

20 The individual analysis might be
21 relatively simple, but the number of possibilities
22 could be more detailed compared -- you know.

1 Because we have heard time and time again all these
2 cases are different. There are complicated and
3 varied sets of psychological and physical symptoms
4 that may present themselves.

5 So in terms of the work side, our -- at
6 least initially approach, can -- and Shanana can
7 chime in here, you know, if she feels it's
8 necessary -- is that we wanted to cast a broad as
9 net as possible. We wanted to give SSA as many
10 different work descriptor dimensions from which
11 however many items can be generated, so that they
12 can make that decision. We didn't want to, based on
13 what limited -- you know, I mean, there is so --
14 yes, there is research in a lot of these areas; but
15 there is not -- at least not on our side; and I
16 suspect not on the person side either -- true
17 national databases that has looked at all work. I
18 mean, that just doesn't exist.

19 So there are little snippets and pieces
20 here and there, and different researchers of
21 different levels of competence who have looked at
22 these various issues; and that's all we have to work

1 with.

2 And so my approach has been -- all along
3 is that I think this is going to be a sequential
4 process of the -- with, you know, perhaps, one or
5 more research steps where we pilot, and you know,
6 develop prototypes, and take them out to VEs, and
7 give them a few options. And as much as possible
8 within Social Security Administration develop this
9 sort of research and development, and ultimately, a
10 maintenance and update function that's part of the
11 Agency. I don't think this is -- they are the only
12 ones that I think can consistently and on an ongoing
13 basis maintain this kind of -- it is my personal
14 opinion, but --

15 DR. ANDERSSON: I think you can fit a
16 number of these under your heading. For example, if
17 you look at what you describe as activities related
18 to building, repairing structures. So that doesn't
19 tell me a lot. If I want to know what the physical
20 demands of that job is, I have to know what this
21 person is actually doing.

22 Is he just sitting there drawing a

1 building? Or is he, in fact, out there carrying and
2 doing a lot of very physical activity? So I have to
3 have some kind of physical descriptors underneath
4 your heading on activities related to building and
5 repairing structures.

6 And when I look at your crosswalk, what
7 you are trying to do, I think, is describe what
8 typically would fall under these different
9 categories; and I don't have a problem with that. I
10 think what we're trying to do is to be much more
11 detailed under one or two of these specific
12 categories.

13 DR. WILSON: Right. And if I didn't make
14 it clear, I apologize; but these are just categories
15 for the very kinds of things you are talking about.
16 They're meant to stimulate those kinds of questions.
17 We want to go to people like you and say, what do
18 you want to know about activities related? What
19 would be helpful to you to make the decision that
20 you have to make?

21 DR. GIBSON: I was going to say I was
22 going to regret -- apparently, I'm not -- that we

1 have actually come back there in the past couple
2 moments. I was going to build on that. I'm
3 actually going to concede something to Mark. So
4 don't hold it against me. I will do it once this
5 whole week, I think.

6 Going back to our discussion yesterday
7 when we were having the -- joking around about the
8 activities related to lifting, activities related to
9 pushing; and by assistance if they stay; assistance
10 if they leave, et cetera. Looking now at what
11 Deborah has given us actually creates a very nice
12 situation where he could probably argue that what it
13 should have said was activities related to manual
14 materials handling. Then a sample item would have
15 been, lifting items under 25 pounds and how
16 frequently would it occur.

17 I think that also gets to Deborah's
18 question that day about is this really the level of
19 detail? Again, that iterates these are categories
20 that we created where we filled out much more highly
21 specific questions. So activities related to manual
22 materials handling, followed by items like lifting,

1 carrying, pushing, pulling in various levels.

2 Once again, having to have some sort of
3 adaptive system, which no we can't ask, do you ever
4 have to do manual materials handling? That would
5 kill things. Do you ever have to lift things as
6 part of your job? If the answer is "yes," there are
7 follow-up questions that deal with the repetition
8 issue, the height issue, or things of that nature.

9 DR. BARROS-BAILEY: Okay. Any other
10 questions? Any other comments regarding physical
11 demands?

12 Okay. Anything else regarding the mental
13 cognitive user needs?

14 Is everybody ready to shut down for the
15 day, sounds like? Okay.

16 Well, we're not at 5:00 o'clock yet, but
17 tomorrow we will have the opportunity to deliberate
18 on those other subcommittees. It sounds like we are
19 at a point today where we can close our session.

20 So do I hear a motion to adjourn?

21 DR. GIBSON: So moved.

22 DR. BARROS-BAILEY: Moved by Shanana.

1 Do I have a second?

2 MS. KARMAN: Second.

3 DR. BARROS-BAILEY: And Sylvia second.

4 We are adjourned for today. Tomorrow

5 morning at 8:30 here. Everybody have a good

6 evening. Thank you.

7 (Whereupon, at 4:29 p.m., the meeting was

8 adjourned.)

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF REPORTER

I, Stella R. Christian, A Certified
Shorthand Reporter, do hereby certify that I was
authorized to and did report in stenotype notes the
foregoing proceedings, and that thereafter my
stenotype notes were reduced to typewriting under
my supervision.

I further certify that the transcript of
proceedings contains a true and correct transcript
of my stenotype notes taken therein to the best of
my ability and knowledge.

SIGNED this 29th day of June, 2009.

STELLA R. CHRISTIAN