

SOCIAL SECURITY ADMINISTRATION
OCCUPATIONAL INFORMATION DEVELOPMENT
ADVISORY PANEL TELECONFERENCE MEETING

AUGUST 31, 2009

SOCIAL SECURITY ADMINISTRATION

WOODLAWN, MARYLAND

* * * * *

DR. MARY BARROS-BAILEY

INTERIM CHAIR

S R C REPORTERS
(301)645-2677

1 M E M B E R S

2 MARY BARROS-BAILEY, Ph.D., INTERIM CHAIR

3 GUNNAR ANDERSSON, M.D.

4 ROBERT T. FRASER, M.D.

5 SHANAN GWALTNEY GIBSON, Ph.D.

6 THOMAS A. HARDY, J.D.

7 SYLVIA E. KARMAN

8 DEBORAH E. LECHNER

9 LYNNAE M. RUTTLEDGE

10 DAVID J. SCHRETLEN, M.D.

11 NANCY G. SHOR, J.D.

12 MARK A. WILSON, Ph.D.

13

14 C O N T E N T S

15 ITEM:

16 -----

17	Welcome, Review of Agenda and Procedures	5
18	Content Model and Classification Recommendations	6
19	Subcommittee Reports	
	User Needs & Relations - Sylvia E. Karman	7
20	Taxonomy/Classification - Mark A. Wilson	27
	Physical Demands - Deborah E. Lechner	65
21	TSA - Thomas A. Hardy	96
	Cognitive/Mental Demands - David A. Schretlen	118
22	Issues and Questions	133

S R C REPORTERS
(301)645-2677

1 P R O C E E D I N G S

2 OPERATOR: Good day, ladies and gentlemen,
3 and welcome to your Occupational Information
4 Development Advisory Panel conference call. At this
5 time all participants are in a listen only mode. If
6 you should require operator assistance at any time
7 during today's program, please press "star, zero" on
8 your touch tone telephone. I would now like to
9 introduce your host for today's conference call,
10 Ms. Debra Tidwell-Peters.

11 You may begin, ma'am.

12 MS. TIDWELL-PETERS: Thank you.

13 Good afternoon everyone. My name is Debra
14 Tidwell-Peters. I'm the Designated Federal Officer
15 for the Occupational Information Development Advisory
16 Panel. I will begin by doing a scan of our members
17 to ensure that we have a quorum present.

18 Gunnar Andersson.

19 DR. ANDERSSON: Present.

20 MS. TIDWELL-PETERS: Mary Barros-Bailey.

21 DR. BARROS-BAILEY: Present.

22 MS. TIDWELL-PETERS: Robert Fraser.

1 DR. FRASER: Present.

2 MS. TIDWELL-PETERS: Shanan Gwaltney
3 Gibson.

4 DR. GIBSON: Present.

5 MS. TIDWELL-PETERS: Thomas Hardy.

6 MR. HARDY: Present.

7 MS. TIDWELL-PETERS: Sylvia Karman.

8 MS. KARMAN: Present.

9 MS. TIDWELL-PETERS: Deborah Lechner.

10 We expect to have Deborah on the line
11 shortly.

12 Lynnae Rutledge.

13 MS. RUTTLEDGE: Present.

14 MS. TIDWELL-PETERS: David Schretlen.

15 DR. SCHRETLEN: Present.

16 MS. TIDWELL-PETERS: Nancy Shor.

17 MS. SHOR: Present.

18 MS. TIDWELL-PETERS: And Mark Wilson.

19 DR. WILSON: Present.

20 MS. TIDWELL-PETERS: Thank you. We do
21 have a quorum of members.

22 For our members, since this meeting is

1 being transcribed, I will ask that each time you
2 speak that you say your name so that it can be
3 captured by our transcriptionist. And also, if at
4 any time during the meeting you need to mute your
5 line, please press "star, six;" and to unmute it
6 press "star, six" again.

7 Having a quorum, I'm now going to turn the
8 meeting over to the interim Panel Chair, Mary
9 Barros-Bailey.

10 DR. BARROS-BAILEY: Good day, everybody.
11 Just to do a little bit of an introduction before we
12 review the addenda and procedures.

13 The purpose of today's meeting is based on
14 a continuum of activity that we have had. This is to
15 review and deliberate on the subcommittee's work
16 arising out of our Panel meetings, and the
17 recommendations of the subcommittee to the Panel in
18 terms of the Content Model and Classification.

19 There will not be any voting at today's
20 meeting. That will be held in September in terms of
21 the recommendations for the Content Model and
22 Classifications. We will be discussing the drafts of

1 those recommendations today as well as -- that arose
2 out of the subcommittee reports.

3 Just to put this in to a continuum for you
4 a little bit in terms of what will be happening after
5 today, the subcommittee reports will be finalized close
6 of business tomorrow. Then, we will have the overall
7 report, the OIADAP report of Content Model and
8 Classification to the Commissioner. That will be
9 drafted by the end of the week. That will be sent
10 out to the executive subcommittee with their
11 responses coming back to me by the 7th of September,
12 to final the report by the 8th of September, and then
13 that out to the whole Panel by the 9th of September
14 for review and approval at the Los Angeles meetings.

15 In terms of what we're going to be doing
16 today in terms of the review of the agenda we're
17 going to be going through each of the subcommittees
18 recommendations for the content model and areas of
19 future study. We're going to be taking the
20 recommendation section by section and discussing
21 those and deliberating on those by subcommittee
22 before we move on to the next subcommittee.

1 It's my understanding that the physical
2 demands report may need to be pushed up because there
3 is some time constraints that Deborah Lechner is
4 under. So we might need to move that up either
5 before transferable skills or taxonomy, depending on
6 how we're dealing with the time. Then at the end of
7 the deliberations for the subcommittee, we're going
8 to have overall deliberations for the Panel. Then
9 any outstanding issues or questions we're going to be
10 talking about the Panel vote in September. Okay.

11 So I'm going to ask our subcommittee chair,
12 Sylvia Karman, to address the recommendations for
13 Users Needs and Relations.

14 MS. KARMAN: Thank you, Mary. Good
15 afternoon, everyone.

16 We -- our subcommittee has made
17 recommendations in three main areas. One is
18 communication information coming in and going out of
19 the Panel and SSA about the project, recommendations
20 for applying research, and then recommendations for
21 other content model data elements; those which are in
22 addition to physical and mental cognitive demands of

1 work and worker traits.

2 I will begin with public comment process
3 recommendations under communication. And what we
4 submit as recommendations for the Panel's
5 consideration are that SSA should explore more
6 extensive use of Federal Register notices to solicit
7 public comment. We offer two possible options for
8 considerations. SSA should investigate whether or
9 not it's able to publish the Panel's final
10 recommendation report in the Federal Register,
11 inviting the public to comment for a designated
12 period of time.

13 And then SSA as well should consider
14 publishing in the Federal Register notices of -- that
15 are independent of the Panel meeting announcements.
16 These notices could request public comment regarding
17 specific topics of timely interest to the Panel or
18 SSA that may inform Panel deliberations, meeting
19 agendas that are in the future, as well as SSA's
20 project work.

21 Also, SSA should notify the public
22 periodically as determined by the Panel of the nature

1 of public comments received between and during Panel
2 meetings. SSA should summarize these comments and
3 make the summaries available to the public. They may
4 be posted to the OIDAP web site, disseminated at
5 face-to-face public Panel meetings, and broadcast to
6 the subscribers of the OIDAP e-mail. Comments
7 received in response to a Federal Register notice may
8 be summarized and published through the Federal
9 Register process.

10 Are there any comments or concerns before I
11 move on to the next area?

12 Okay. The second area under communication
13 is communication methods and venues. We have
14 examined a number of different methods and our
15 subcommittee discussed them. These were the
16 outcomes. So number one, SSA should consider
17 publishing notices in relevant professional
18 publications advertising the OIDAP web site and
19 e-mail addresses.

20 And also SSA should explore social media.
21 Of all the social media that are currently available,
22 our subcommittee felt that a closed authored blog may

1 be the best contemporary method to reach a variety of
2 audiences. This would be a blog that is moderated
3 with a designated blogger, one individual or set of
4 individuals were designated to post information on a
5 regular basis and make that available to the public.

6 Then, thirdly, 2c, maintain our basic
7 static and receptive media, which would be the OIDAP
8 e-mail and our web site as a Panel's virtual
9 billboard. However, obviously, that's not
10 interactive.

11 2d would be for us to recommend that SSA
12 also push media -- or use push media, such as e-mail
13 distribution lists, public service announcements
14 through Social Security's web site, and other e-mail
15 distribution lists that SSA may have.

16 Under 2e, we suggest that the Panel
17 consider having Social Security develop consistent
18 structure for any online social media use. That
19 includes developing a branding style, developing a
20 style sheet for all print media, and develop
21 presentation materials and Power Point slides
22 regarding the project and Panel activities that can

1 be modified to suit audience needs. Develop criteria
2 for moderators of social media sources that may be
3 selected regarding the content, the clearance of any
4 information that gets on to that medium, the style,
5 and any online behavior that we have for expectations
6 and guidelines for that.

7 Also, help set expectations and boundaries
8 with disclosure statements notifying participants of
9 any of these media regarding the authoring,
10 anonymity, and expected response.

11 2f, we should ask that SSA continue to
12 monitor developments in the new and emerging public
13 media through ongoing SSA and other federal
14 government itself. We have cited two that we know of
15 right now and currently another federal advisory
16 committee within Social Security called Future
17 Systems Technology Advisory Panel, and the federal
18 knowledge management initiative.

19 And then, finally, the last item under this
20 section is for Social Security to develop fact sheets
21 for the public to address frequently asked questions
22 regarding the project and Panel activities. Are

1 there any comments or concerns?

2 DR. SCHRETLEN: Sylvia, this is David
3 Schretlen.

4 MS. KARMAN: Yes.

5 DR. SCHRETLEN: You have, obviously, spent
6 a great deal of time thinking about this. Certainly
7 more than I have. I am wondering, all these under
8 these general recommendations for communication,
9 were there other methods or venues that you
10 considered and rejected; and if so, why?

11 MS. KARMAN: We did, in fact, consider a
12 number of them. A lot of the things that we looked
13 at included the open blogs, open wikis, which if, in
14 fact, Social Security were to be responsible for
15 these things, we felt that there were concerns
16 about -- you know, the degree to which that
17 information could remain unaltered and secure and
18 that we knew what was -- that the information was
19 reliable.

20 Mary, did you have some other points that
21 you wanted to make here?

22 DR. BARROS-BAILEY: Yes, I think in terms

1 of other social medias, is that what you are
2 referring to or are you referring to any media?

3 DR. SCHRETLEN: Just any media. You know,
4 I don't even know what a closed authored blogged is,
5 what that means. How that differs from others.

6 DR. BARROS-BAILEY: I will explain the
7 difference. A closed author would be kind of when
8 you go to the White House web site, you see they
9 have a blog. They don't allow comments and that
10 kind of thing. It's an active participation in
11 terms of information, but there isn't a lot of
12 dialogue back and forth. So it is the way that the
13 information is collected and processed.

14 Some of the research that we did was among
15 other -- what's happening in other areas within
16 government, not just federal, but other levels of
17 government as well in terms of the integration of
18 social media. And it's a fairly new concept in and
19 of itself. So it's a matter of looking at it and
20 kind of treading lightly and making sure that
21 information that is available is information that is
22 accurate.

1 DR. SCHRETLEN: But people who read the
2 blog can comment, so communication goes both ways?

3 DR. BARROS-BAILEY: I mean, they can
4 comment through our e-mail address.

5 DR. SCHRETLEN: I see, but not on the
6 blog?

7 DR. BARROS-BAILEY: Right. There is a
8 concern in terms of being able to make sure that the
9 information that is on there is as accurate as
10 possible.

11 MS. KARMAN: David, this is Sylvia, we
12 have also heard from a number of other Panel members
13 about the prospect of using wikis to -- sort of open
14 discussion about a variety of topics relevant to our
15 project. For example, you know, issues having to do
16 with, perhaps, experts in the field who are aware of
17 any changes that are going on with work activities
18 or occupations in general; but I think that we would
19 need to take a look at how we would be able to
20 operationalize that, if that was something that
21 Social Security was responsible for.

22 Now, if wikis get established and these

1 kind of things are out there being used, Social
2 Security could certainly use that information and
3 then go back and verify what people are posting. So
4 we're not saying we shouldn't be doing something
5 that's open. It's just how would we manage it in
6 Social Security. I think that's --

7 DR. SCHRETLEN: I guess the underlying
8 question for me is, is the purpose of this just to
9 promulgate information to the public, or to also
10 receive information?

11 MS. KARMAN: Both. Our recommendations
12 overall have to do with both. So in terms of the
13 Federal Register process that we have outlined and
14 other ways of communicating with the public, we have
15 an interest in getting information to the public and
16 to a variety of other users or stakeholders in the
17 process, as well as getting information from them.
18 So it is both.

19 DR. BARROS-BAILEY: And I think it's also
20 identifying the best platform for the best audience.
21 When we researched it, we, for example, talked to
22 NASA. Are they -- they have different platforms for

1 different audiences. So for researchers and
2 scientists it's more of a traditional online media.
3 And for the general public it's more the social
4 media that deals with blogging and social
5 networking, that sort of thing. So it's identifying
6 the best resource for the public -- the target
7 audience.

8 DR. SCHRETLEN: Okay. Thank you.

9 MR. HARDY: This is Tom Hardy. I have a
10 very quick question, Sylvia.

11 MS. KARMAN: Okay.

12 MR. HARDY: Under 2d, for push media and
13 e-mail distribution list; I'm assuming there will be
14 a way for the general public to write in and get on
15 that list?

16 MS. KARMAN: That's correct. They do this
17 now.

18 DR. BARROS-BAILEY: That's available now.

19 MR. HARDY: Okay. Thank you.

20 MS. KARMAN: Is that all? Okay.

21 The next section, there are recommendations
22 for Applied Research; and number three is User Needs

1 Analyses and Studies of Programs and Process Effects.
2 We submit the recommendations for the full Panel's
3 consideration that SSA should develop a formal plan
4 to conduct users needs analyses throughout the
5 research and development phase of the project.

6 The UNA plans and study designs should
7 address various stages of the Occupational
8 Information System development. For example, right
9 now we just -- Social Security just completed a user
10 needs analysis of -- involving it's user with regard
11 to the content model. The next stage may involve
12 issues having to do with content model as it's being
13 developed and instrument development. In this way we
14 can capture user reactions and concerns, including
15 any operational or programmatic information that
16 might be valuable to Social Security.

17 Also, these user needs analyses should
18 target as many SSA users as possible, as well as
19 external users of occupational information who are
20 directly involved in SSA's disability process. For
21 example, claimant representatives and vocational
22 experts. We understand that the Office of Management

1 and Budget Paperwork Reduction Act guidelines would
2 apply for any studies or surveys that SSA conducts
3 with external users, those who are not working
4 directly for Social Security Administration.

5 SSA on the third element, or 3b, actually,
6 is SSA should study the effects of using the OIS
7 content model data elements. Under that suggesting
8 the first one is that SSA should conduct a study of
9 the effects of the OIS content model data elements in
10 SSA's disability process by comparing the use of
11 prototype person-side instruments, which would
12 include the newly identified content model
13 person-side constructs and measures with the use of
14 current -- our current physical and mental residual
15 functional assessments. Social Security could do
16 this using a sample of disability claims that have
17 already been adjudicated. The results could inform
18 SSA's RFC development claims intake process. Other
19 assessments models, for example, computer assistive
20 technology, as well as the content model itself, and
21 prototype work-side job analysis instruments. The
22 study should involve SSA adjudicators and its medical

1 staff in applying the new content model's physical
2 and mental data elements.

3 Do we have any comments or concerns about
4 that before I move to the next suggestion? Okay.

5 Another study -- or another area of study
6 that we would want the Panel to consider to recommend
7 to Social Security is that when the results of field
8 tests of the work-side instruments are available, SSA
9 should also conduct studies of the application of
10 these data in SSA's disability process to assess the
11 validity and effects of the data on both its
12 disability process and programs.

13 These studies would include effects of
14 using physical and mental work demands data, as well
15 as work activity and other occupational data that are
16 critical to the assessment of work history and
17 transferable skills assessment.

18 Are there any comments on either of these
19 before I move on?

20 All right. Our final area, are
21 recommendations for other Content Model Data
22 Elements. We recognize that there are data that SSA

1 may need, that go beyond the physical and mental
2 cognitive demands of work and worker traits. And we
3 recognize that among those types of data we note that
4 there are some that are for adjudicative use, and
5 others that we are recommending that are only for
6 program evaluation and research; and would not be
7 recommend for adjudicative use.

8 First, I will cover a few of the elements
9 that we have recommended for adjudicative use. First
10 one is literacy. Does the occupation require the
11 worker to be able to read or write? If so, in what
12 language or languages?

13 Communication in English or other
14 languages. Does the occupation require the worker to
15 be able to communicate in English or other languages?

16 Are there options for how the work is
17 performed -- or how the work may be performed that
18 the worker may select, such as a sit-stand option?
19 This would be -- this would include options for use
20 of a variety of tools or technology to perform a
21 given work activity.

22 And core tasks. What are the core tasks or

1 work activities of the occupation, as opposed to
2 those tasks which may be not necessarily required?

3 Are there any comments on those or things
4 that you feel that we should add?

5 MR. GUNNAR: This is Gunnar. I guess it's
6 a political issue, but the question arises whether
7 or not you should require anybody to speak other
8 languages other than English?

9 MS. KARMAN: We are collecting the
10 information really with an eye toward whether or not
11 the job requires somebody to communicate in English,
12 which is -- as our current policy is, we look toward
13 that. We do not have a requirement that they need
14 to be able to speak a particular other language, but
15 that's always been information that -- that users
16 have told us that they frequently would want to
17 know.

18 That's an excellent question, though,
19 Gunnar, because in a way that isn't information that
20 is currently used in the adjudicative process, nor
21 are we suggesting that it should be. So, perhaps, we
22 should make a distinction about the extent -- if we

1 do include that kind of information, collecting that
2 type of information, perhaps, we would want to put
3 that in the program evaluation category.

4 Any other comments?

5 DR. SCHRETLEN: Yes, just one, Sylvia;
6 this is David.

7 I don't know whether it belongs here or not
8 or even whether it's appropriate; but I wonder, since
9 more and more people are working from home or
10 telecommunicating, is that something that is
11 important to consider?

12 MS. KARMAN: Currently, we don't have a
13 programmatic need for that literally. But it
14 certainly is important to consider, and we have a
15 list of items for program evaluation and research;
16 and perhaps, we should consider seeing where that
17 might fit there.

18 DR. SCHRETLEN: I just wonder if in the
19 adjudication of cases there might be people who
20 could do jobs at home, but not -- more easily than
21 going to work.

22 MS. KARMAN: Right. Right. But we would

1 need to take a look at that in terms of how we would
2 want to handle it in our policies. It seems like we
3 would want to cover that, though, under program
4 evaluation and research, at least for starters; and
5 then, that would inform SSA's process.

6 We do have some elements under there that
7 have to do with, you know, the shift of the job and
8 transportation; but I think that's a good point.

9 DR. SCHRETLEN: It just seems like it's
10 happening more and more.

11 MS. KARMAN: Yes. Okay.

12 DR. ANDERSSON: There is actually quite a
13 few patients who claim that transportation to work
14 is their main problem.

15 DR. WILSON: Yes, talking about the
16 ultimate sit/stand option.

17 DR. BARROS-BAILEY: Just a reminder that
18 Stella is working really hard here to get down every
19 word. If you can say your name before you make a
20 comment, that would be great.

21 MS. KARMAN: Okay. Before I go to the
22 next set of data elements, are we finished with that

1 set -- at least for now?

2 Okay. All right. So here are a few
3 content model data elements that we're submitting for
4 Panel's consideration. These would be for program
5 evaluation and research at this stage of the game
6 only. None of these elements are we recommending for
7 adjudicative use. However, their analysis by the
8 Agency might lead the Agency to -- you know, it might
9 inform policy development.

10 For example, the issue of telecommunicating
11 that was just raised by David Schretlen and Gunnar
12 Andersson certainly might be an element that would
13 fit there that might in the long run inform policy
14 development.

15 So what we have, for starters anyway, are
16 the worker's year of birth; the worker's educational
17 attainment -- or level of educational attainment;
18 worker's chronological work experience. For example,
19 the last occupation or up to the last three
20 occupations. That's just a number that we're using.
21 It doesn't have to be that; including the duration of
22 work activities performed, and work activities

1 performed.

2 Worker's mode of transportation to and from
3 the occupation; zip code of employment entity.

4 Suspecting that we will probably have that or need
5 that anyway in order just to do our sampling. And
6 also zip code of the worker's residence. Kind of
7 gives us an idea of how far people are traveling.

8 Worker's primary language and secondary, if
9 any -- if there is a secondary. Occupations average
10 shifts, time of day and number of hours. And here we
11 can see where telecommunicating might fit in.

12 Worker's number of hours worked weekly or daily in
13 the occupation; and another item for worker's other
14 jobs or occupations -- this should say
15 concurrently -- that they -- that the worker is doing
16 concurrently. So in other words, is the worker
17 holding down more than one job at a time?

18 Does the employer offer health insurance?
19 And if yes, does the worker participate in that
20 program? What is the worker's gender, and worker's
21 race and ethnicity? Are there any comments or
22 concerns?

1 All right. Thank you very much, everyone.

2 DR. BARROS-BAILEY: Thanks. And Sylvia
3 went through each of her recommendations section by
4 section. I just wanted to ask overall if there are
5 any comments or concerns or questions for Sylvia
6 before we move on to taxonomy?

7 MS. RUTTLEDGE: This is Lynnae, it's not a
8 question or concern; but it might be helpful as we
9 start each one of the presentations if the person
10 who is giving the report can mention who are the
11 members on those committees.

12 MS. KARMAN: Oh, thank you.

13 MS. RUTTLEDGE: Sure.

14 DR. BARROS-BAILEY: Sylvia, do you want to
15 maybe wind that up for your subcommittee?

16 MS. KARMAN: Yes. Absolutely. Thank you,
17 Lynnae. I'm sorry.

18 MS. RUTTLEDGE: That way it will be in the
19 record.

20 MS. KARMAN: Absolutely. Okay.

21 So for the User Needs and Relations
22 Subcommittee our members are Lynnae Ruttledge, Nancy

1 G. Shor, Mary Barros-Bailey, Sylvia Karman.

2 Okay. Thank you very much.

3 DR. BARROS-BAILEY: Thank you, Lynnae.

4 And I'm going to go ahead -- let me ask, is
5 there any other question or comment before I past on?

6 Okay. Mark Wilson is the Chair of the Work
7 Taxonomy and Classification Subcommittee, and I would
8 like to then turn the meeting over to him for his
9 recommendations.

10 DR. WILSON: Thank you, Mary.

11 And to deal with Lynnae's request, first.

12 In addition to me, Mark Wilson; Shanan Gwaltney
13 Gibson is the other member of our subcommittee.
14 Initially, James Woods, who was a Panel member,
15 previously was on our subcommittee, but choose to
16 resign. So Shanan and I have soldiered on by
17 ourselves.

18 The Work Taxonomy and Classification
19 Subcommittee has made 16 recommendations that are
20 organized into four categories for the Panel's
21 consideration.

22 The categories are Existing Systems, OIS

1 Design and Development; OIS Standing for Occupation
2 and Information System; OIS Data Collection and
3 Analysis, OIS Maintenance.

4 Our recommendations include suggestions on
5 work taxonomy and job classification, as well as our
6 views of the systems that are needed to bring these
7 about and maintain them.

8 So with regard to the first category,
9 Existing Systems, we have made two recommendations.
10 And essentially, our recommendation is that the
11 Social Security Administration should develop its own
12 Occupational Information System rather than try and
13 update or retask existing systems. And the two
14 systems are the Dictionary of Occupational Titles and
15 O*Net.

16 Any questions with regard to Existing
17 Systems?

18 Hearing none. I will move on.

19 MS. SHOR: Mark, this is Nancy Shor. I
20 will tell you that a question that is posed to me
21 more frequently than any other is why has the
22 Panel -- why is the Panel operating from the get go

1 that updating the DOT isn't the way to go?

2 DR. WILSON: I don't know if you -- we
3 went into some detail in the report about what the
4 issues were with the Dictionary of Occupational
5 Titles.

6 MS. SHOR: Right.

7 DR. WILSON: Discussed the National
8 Academy of Sciences review of the Dictionary of
9 Occupational Titles and scientific problems with
10 that. I think it's an important question, though,
11 Nancy, in that it very well could be the case -- in
12 fact, I suspect it will be, that the Occupational
13 Information System that we propose, if Social
14 Security chooses -- the Panel chooses to recommend,
15 Social Security chooses to follow, would more than
16 likely provide information on some of the same
17 constructs that the Dictionary of Occupational
18 Titles tries to provide information on, but with
19 greater scientific precision and more accuracy.

20 MS. SHOR: Okay. Thank you.

21 DR. WILSON: Any other questions about
22 prior systems?

1 All right. OIS Design and Development.
2 Here we made three recommendations. The first
3 recommendation is based on one of our activities
4 where we looked at all the previous empirical work
5 taxonomies that were out there and did a sorting and
6 consolidation process, which is now referred to as
7 Table 2 in our report to the Panel. And we suggest
8 that Social Security Administration use Table 2 as
9 the stimulus for the development of an occupational
10 analysis instrument that would have multiple item
11 scales to measure the dimensions that are listed in
12 Table 2.

13 The second recommendation -- and you have
14 heard some about this already -- is we recommend that
15 SSA host a web-based community where registered
16 experts from several disciplines could review the
17 dimensions in Table 2, make comments, make
18 suggestions. I suggest potential items, things of
19 that sort.

20 We were intentionally vague in terms of
21 some of the mechanics of exactly how the web based
22 community might work. You have already heard from

1 Sylvia some thoughts of other Panel members on that.
2 We're by no means experts in this area. Our goal
3 here is simply to involve, as Nancy asked, there is
4 very large, very active communities, people out there
5 that are very interested in this process. We need to
6 find a way to get them involved in and hopefully
7 understand that we're very interested in their views
8 on measurement of these important constructs on the
9 work side that we're trying to get after.

10 The most important things in terms of these
11 constructs, before I move on to the third
12 recommendation in this area, is that they be
13 behavioral and observable.

14 Okay. With regard to the third
15 recommendation, because there is so little expertise
16 in -- that is current in a lot of these areas, and
17 because we consider occupational information for the
18 purposes of disability determination to be a core
19 task of Social Security Administration, we are
20 suggesting that they should develop their own
21 internal unit to carry out recommendations with
22 regard to the design and development, data

1 collection, analysis, and maintenance of an
2 Occupational Information System.

3 We also think it's important that the
4 Agency should put in place procedures and policies
5 that are meant to help establish the independence and
6 scientific credibility of this unit. So those are
7 the three recommendations with regard to design and
8 development. Any questions in this area?

9 DR. SCHRETLEN: Yes, Mark, this is Dave
10 Schretlen.

11 DR. WILSON: Sure.

12 DR. SCHRETLEN: Under bullet point two,
13 there is the sentence, "two primary criteria for
14 items should be that they are both behavioral and
15 observable;" and I would suggest for consideration
16 substituting the word "measurable" for "observable."
17 Mainly because of my interest in the psychological
18 side of things. We can often measure things that we
19 can't necessarily observe directly.

20 DR. WILSON: I'm going to give you some
21 push back on that one, David, in the sense that we
22 think -- and some of it comes from my sense of what

1 the courts tend to look at in terms of job
2 relatedness of various personnel actions. You know,
3 maybe we will need to have a more extensive
4 discussion on this; but I definitely think
5 observable needs to be there. I think if we try and
6 get too far removed from things that can be verified
7 through direct observation, we're going to have
8 defensibility problems.

9 MS. KARMAN: This is Sylvia, Mark and
10 David. Would it be possible for us to qualify
11 this -- that sentence a bit or the concept, the idea
12 a bit by saying -- by addressing what David is
13 concerned with, as well as what your point is, Mark?
14 So that both --

15 DR. WILSON: I think the issue is -- David
16 makes an important point. We're certainly not
17 arguing against measurability, but it was --

18 MS. KARMAN: Right. We do need observable
19 things.

20 DR. WILSON: The issue is, which I
21 completely agree, there are things that are
22 measurable that aren't observable. So we're

1 certainly not arguing, in fact, the reverse. We're
2 very much in favor of measurability. The issue is,
3 if we don't -- if we drop the -- the requirement
4 that things be observable, I think, we run into
5 other things. So I would have no problem adding the
6 word "measurable" to the list. My concern would be
7 dropping the word "observable."

8 DR. FRASER: Yes, let's just add the
9 "measurable."

10 DR. WILSON: Right. I have no problem
11 with that.

12 DR. BARROS-BAILEY: Was that Bob?

13 DR. FRASER: Yes.

14 DR. GIBSON: This is Shanan. Can I take
15 you back a moment, please? Hello.

16 DR. BARROS-BAILEY: Sure.

17 DR. GIBSON: I was going to say in
18 relation to what Dave just said, I think the issue
19 of observable versus measurable is very distinctive
20 on the person side. However, if we're talking about
21 measuring on the job side, I think observability is
22 a paramount issue for legal defensibility. So there

1 is a difference when we're talking about the job
2 side versus the person side here.

3 MS. KARMAN: This is Sylvia. If I'm
4 understanding you correctly, I agree; yes.

5 DR. GIBSON: On the job side we need to
6 maintain observable; and observable, therefore,
7 implies measurable. However, on the person side
8 there are things which are measurable, but not
9 observable. So there is a distinction depending on
10 which side we're referring to here.

11 DR. SCHRETLEN: I think my concern is that
12 for some characteristics of jobs like job complexity
13 it is difficult to --

14 DR. GIBSON: I don't think we can measure
15 complexity either, Dave.

16 DR. SCHRETLEN: You know, but it's an
17 important aspect of job demand. In fact, it's
18 probably one of the single most important
19 characteristics that distinguish among jobs. So
20 that's what I was saying, I think that you can
21 probably measure job complexity indirectly by
22 looking at it's reflection in incumbents; but it

1 would be very difficult to say what it is about what
2 a -- a judge does or a physicist that -- that --
3 that characterizes its complexity.

4 DR. GIBSON: But what you're referring to
5 there really is more, if you will, a statistical
6 computation of complexity based on -- estimate of
7 complexity based on things we do observe, actual
8 behaviors performed on the job, correct?

9 DR. SCHRETLEN: Well, I think that -- I
10 mean, the answer is at some level, absolutely, yes.
11 But I'm just not sure that it's very feasible to
12 characterize job complexity based on what is
13 observed.

14 DR. GIBSON: Even things which are
15 outcomes of work are observable, though. So if you
16 wanted to use the job of physicist for which, for
17 example, many of the tasks are cognitive in nature,
18 the outcomes are still observable, which would be an
19 indication of complexity. I just do not want to
20 move off saying that things can be measured on the
21 job side that don't have to be observable. That
22 runs counter to all the literature on verifiability,

1 and what we know about litigation and defensibility
2 and work analysis.

3 DR. WILSON: This is Mark Wilson. We --
4 in the report we actually discussed this issue at
5 some length. And the discussion that we're having
6 now, I think, is important in that it highlights the
7 issue of what is directly observed and measured
8 versus what one infers from that. Oftentimes -- and
9 it's what I was referring to earlier when I talked
10 about the DOT and some of the constructs that they
11 attempted to measure either directly in most cases,
12 or now we're talking about indirectly or through
13 multi-item scales.

14 I think that there are a number of
15 different ways that we can get at some of these more
16 complex constructs that David is talking about, like
17 complexity; but I think if the issue is -- and I
18 think as we make clear in our report, defensibility
19 attempts to directly measure highly complex
20 constructs -- on the work side anyway -- would be
21 difficult and hard to defend. It doesn't mean that
22 we can't do studies that, you know, we try and

1 capture expert judgment of some of these things, see
2 whether or not some of these larger inferential leaps
3 can be validated scientifically. But it's more
4 difficult, and I think the genesis of our discussion
5 here is referring to items, not constructs.

6 So if we think of it from that standpoint,
7 the items that we are going to use we're pretty
8 firmly in -- in the camp that they need to be
9 behavioral and observable; and we have no problem
10 with adding measurable as well. How we get to more
11 abstract issues is a little more complicated in terms
12 of measurement; but I think anything that the Panel
13 feels, regardless of how complex or abstract it is,
14 you know, we will certainly try and provide work side
15 information that could be used as a basis of making
16 those inferences.

17 DR. BARROS-BAILEY: Okay. Are there any
18 other comments or suggestions on the second category
19 of recommendations?

20 MR. HARDY: This is Tom Hardy. I had just
21 a quick clarification I wanted to make sure on
22 bullet number one, referring to Table 2. Table 2 is

1 suggested stimulus areas, and the items in there may
2 and most likely will change over time, is that
3 correct?

4 DR. WILSON: Say that again, Tom. I'm not
5 sure --

6 MR. HARDY: Table 2 that we're
7 referencing. The way I'm reading this it indicates
8 that items in Table 2 may or may not remain or
9 change over time as we go forward with data
10 collection and analysis.

11 DR. WILSON: Right. This -- Table 2 is a
12 consolidation of existing and empirical taxonomies.
13 So as the report indicates, it is simply a starting
14 point. It's a place to provide stimulus for item
15 writing. Once we get into some of the other areas
16 and actually collect information and do factor
17 analytic studies, I don't think we're going to
18 recover all the dimensions that are listed in Table
19 2.

20 I also think that, you know, if our
21 recommendations regarding online communities, one or
22 more people out there might identify some major area

1 that -- through the -- the empirical literature has
2 missed. As unlikely as that may be, I think that
3 would be another source for additional information.
4 So yeah, I definitely think it's an iterative
5 process, and the actual number of dimensions that are
6 used in any sort of an operational system that is
7 developed will be smaller than what's listed in Table
8 2.

9 MR. HARDY: Okay. Thank you.

10 DR. WILSON: Other considerations,
11 questions about the second area, OIS Design and
12 Development? All right. Hearing none, we will go
13 to OIS Data Collection and Analysis; and here we
14 have eight recommendations. This is, obviously, an
15 area where we thought a number of recommendations
16 could be made.

17 The first one is recommendation that SSA
18 should conduct a pilot study involving the most
19 frequently seen jobs of claimants and the most
20 frequently recommended jobs for those with residual
21 functional capacity. The idea is to take the items
22 generated from the previous section and do a pilot

1 study to capture 95 percent of the work out there
2 that's most commonly seen by Social Security
3 Administration.

4 Toward that end, a second recommendation;
5 we are aware that there are large groups of experts
6 out there who already provide vocational information
7 to Social Security. There are also other kinds of
8 experts out there who are very knowledgeable in work
9 analysis. SSA has a large system to tap in to that
10 we think that they should use to develop job analysts
11 for the purposes of filling out these ten work
12 analysis surveys as they're created for a pilot
13 sample of jobs.

14 Once that pilot study is completed, a third
15 recommendation identifies the three evaluation
16 criteria that we think are most important utility,
17 reliability, validity, and make suggestions for how
18 you might operationalize each one of those.

19 The fourth recommendation is that SSA
20 should pilot a -- this is similar -- stated slightly
21 differently than some of Sylvia's recommendations;
22 but the pilot data on the Occupational Informational

1 system side should be used to prototype reports and
2 computerized systems and compare that, you know, in a
3 usability sense through existing systems.

4 The fifth recommendation, another web based
5 community, and this one is more purely scientifically
6 focused. The idea is as occupational information is
7 generated, it should be shared with the larger
8 scientific community so that they can conduct their
9 own research, conduct their own evaluations, things
10 of that sort.

11 The sixth recommendation is use of the
12 pilot study to -- and this gets back to one of Tom's
13 questions -- the pilot study data will be a
14 significant source of information for refining the
15 taxonomy using various psychonomic principles to
16 evaluate items, things of that sort.

17 The seventh recommendation is focused on
18 the issue of having to expand the Occupational
19 Information System to include all work, and we make a
20 suggestion of how one might go about doing that by
21 starting with the 12,000 plus titles that were listed
22 in the DOT, having an online community, suggest

1 additions and subtractions as a means of identifying
2 the most likely sampled job titles out there. This
3 is a difficult task that no one really knows the
4 answer too.

5 I don't think there is necessarily going to
6 be a conclusive methodology or procedure to identify
7 all known jobs in the U.S. economy, but we think this
8 is a good place to start; and we think this is a good
9 use of online communities to make suggestions as the
10 list of all jobs gets created.

11 Then, finally, our last and eighth
12 recommendation in this area is the -- once a larger
13 database of all jobs has been generated -- this is
14 the second part of our major area of concern in terms
15 of taxonomy and classification. The classification
16 aspect of it can be examined once we have a database
17 that we think includes most if not all work for
18 classification of jobs.

19 The idea is once we have a common metric,
20 we can use that common metric to determine job
21 similarity and have a better idea of how jobs in the
22 work force can be classified. So that's the eight

1 recommendations with regard to data collection and
2 analysis. Any comments, questions or concerns?

3 DR. SCHRETLEN: Okay. This is David
4 Schretlen.

5 I just want to say I really appreciate
6 recommendation number seven, that SSA should develop
7 a plan to sample work from all jobs in the economy.

8 I think that's a really smart
9 recommendation rather than trying -- as I understand
10 it, the difference -- what you are trying to
11 distinguish is to conduct or to develop an exhaustive
12 list, that you want to begin with a sampling of jobs
13 rather than an exhaustive list.

14 DR. WILSON: Well, I think for a number of
15 reasons. One, because -- as we pointed out, you
16 know, any time you make changes in systems there are
17 going to be concerns. People are going to have
18 worries that need to be addressed. So I think
19 starting out with a pilot study, using that as the
20 basis of prototyping, things of that sort, will
21 allow us to do a number of things.

22 People will be able to directly compare

1 information between old and new systems. And the
2 important thing here -- I want to make this
3 absolutely clear to everyone is that we could do a
4 pilot study. We could develop an instrument,
5 prototype in a relatively rapid fashion.

6 I mean, I think there is absolutely no
7 reason that this pilot study recommendation would
8 need to be something that would take a long time. I
9 think a lot of the concern -- as part of our taxonomy
10 subcommittee work, we have spent a lot of time doing
11 fact finding and talking to various end users and
12 things of that sort; and depending on who they are,
13 they have a number of concerns relevant to change.

14 And I think getting this information up,
15 collected, showing them what we are and aren't doing
16 relatively quickly will have a lot of positive
17 benefits. So it's an area where I hope we --
18 assuming these recommendations are accepted, that
19 it's an area that I think we can do relatively
20 quickly. I think we can begin. It's one thing to
21 talk about these things in the abstract. There is
22 nothing quite like having prototypes, and say, you

1 know, what do you think of this? Or compare this
2 type of information to this.

3 I get some of that in a verbal sense with
4 some of the end users, asking them questions like
5 well, what if you had this. Generally, the reactions
6 are positive; but it's all pretty abstract
7 information at this point.

8 DR. SCHRETLEN: Well, I appreciate that
9 the recommendation is that SSA develop a plan to
10 sample work, not that you are prescribing a certain
11 plan. However, in that -- in connection with that
12 it occurred to me, I wonder if it would be feasible
13 to work with the Bureau of Labor Statistics and
14 conduct some monthly current population survey.

15 You know, they survey 60,000 households
16 every month to get the unemployment rate statistics.
17 I wonder if it might be possible to have a question
18 or two added to the survey about a person who is
19 employed -- you know, their occupation, and thereby
20 get a really representative sample of occupations.

21 DR. WILSON: The problem with a lot of
22 the -- and I don't know specifically about this one,

1 David. The problem with a lot of Department of
2 Labor data is that it's at a much more abstract
3 level of analysis in terms of it oftentimes is
4 aggregated to such a level that it's difficult to
5 pull out information at the level at which people
6 actually do work.

7 The one thing that I didn't mention earlier
8 is a potential means to, perhaps, at least have
9 access to that information is that one of the things
10 that we made sure that we did is take the highest
11 level of Standard Occupational Classification, SOC,
12 which Department of Labor uses, and integrate that
13 into our Table 2 list of work dimensions. So we
14 should be able to recover the way they organize work;
15 and again, I'm not familiar with -- it's definitely
16 something we need to look at.

17 But in the past my -- when looking at the
18 Department of Labor databases, one of the issues is
19 that they oftentimes have words described at such a
20 high level of aggregation that it's not particularly
21 useful in figuring out what an individual actually
22 does.

1 DR. SCHRETLEN: That's why I was saying
2 maybe they could add a question or two, because they
3 probably capture information in an O*Net kind of
4 system, but it might be possible to work with
5 someone in labor and say, gee, in one of these
6 monthly surveys could we add a couple of questions
7 to try and capture more specific -- you know, more
8 homogenous occupational titles.

9 DR. WILSON: Well, the issue would be is
10 what would those questions be if there were only a
11 few? One of the issues, which we pointed out in our
12 report, is that -- what most people refer to as a
13 job title is actually not particularly useful in
14 figuring out what someone does. It can oftentimes
15 be misleading. So we might be able to ask them
16 that.

17 My view of Department of Labor data is that
18 it might be more helpful in terms of the issue of
19 numbers of jobs where aggregation might still be an
20 issue; but we -- once we have our own occupational
21 information system that has the kind of data we need
22 for disability determination, then we may be able to

1 first roll that out to look at employment trends from
2 DOL data; but then work with DOL to sort of bear it
3 out what -- which I think they have. I can't imagine
4 that when they generate these numbers for
5 occupational trends that that isn't done with
6 employers at the actual job title level. And so
7 that's where I'm optimistic that DOLs might be able
8 to help as opposed to on the sort of front end,
9 descriptive end.

10 DR. SCHRETLEN: Okay.

11 MS. KARMAN: This is Sylvia. Actually,
12 our subcommittee did discuss the prospect of working
13 with the Bureau of Labor Statistics and even the
14 Census Bureau to -- you know, on just that issue,
15 David.

16 You know, of course, our discussion of that
17 in our report is very general, because we're not yet
18 sure what those questions might be. But I think it's
19 certainly something that if we can identify the type
20 of information that we might be able to get, given
21 the audience that the Bureau of Labor and Statistics
22 or Census Bureau, you know, surveys; and the type of

1 information that they're getting in the first place.
2 You know, could we, perhaps, have a question or two
3 that get at work activities maybe, or something that
4 might help us even if it's not aggregated then at the
5 SOC level?

6 Is there something that we should be
7 considering in, you know, expanding on a bit in
8 our -- not in our recommendations there, but in terms
9 of how we describe that?

10 DR. SCHRETLEN: Not from my perspective.
11 I like the recommendation that you are going to
12 develop -- that SSA develop a plan to sample work
13 from all jobs in the economy; but it's funny that,
14 you know, the -- what we're -- the committee is
15 going to -- the subcommittee is going to recommend
16 using the DOT, which is what we were replacing.

17 And I'm just wondering if there might be --
18 while the DOT probably has lots and lots of
19 individual occupations that are, you know, valid --
20 occupational titles that are just as valid today as
21 they were in 1939 or '70, or whatever, that I am just
22 also wondering if there might be some empirical way

1 of getting -- of trying to identify a homogenous --
2 you know, occupational titles that are not
3 representative in DOT. You know, it may be going to
4 vocational experts is the best way to do it, and so
5 forth. I was almost -- kind of almost thinking out
6 loud.

7 MR. HARDY: This is Tom Hardy. Can I
8 interject something here?

9 I consider this a foundational topic that
10 we're talking about right now, these two
11 recommendations; because it really seems to me that
12 we're now moving into aggregation and classification,
13 which will be part of the next step, but it's here
14 that we need to be talking about kind of some cut
15 offs, and at least start to ponder the decision
16 making that we're going to do here.

17 What we're going to be doing is not only
18 looking at occupations that we know of, but we're
19 looking for occupations we don't know. So we've
20 always talked about emerging occupations, and the
21 phrase I like is extinguishing occupations,
22 occupations that are disappearing. And if we're

1 using a computer system. We're going to have to
2 establish some sort of anchor or cut off for
3 definitions of occupation, which, as some of you
4 know, it is something I have been talking about for
5 years, and years, and years. And it seems to me this
6 recommendation gets to that. And I would like to
7 hear a little bit more, either now or later,
8 conversation of how we are finally going to come down
9 to setting those -- those end points, because it's
10 the foundation of information gathering.

11 DR. WILSON: Well, this is Mark Wilson. I
12 think one of the issues, which goes back to our
13 recommendation to have Social Security develop a
14 unit that is focused on the kinds of issues and
15 topics that we're talking about in general there is
16 not good research. Once we begin pilot testing the
17 work that we're doing Social Security will know more
18 about a lot -- there is no one out there studying
19 all work. There is no one out there who is trying
20 to estimate how many jobs exist at the level at
21 which people actually do them.

22 The last attempt at that was the Dictionary

1 of Occupational Titles, which had a number of
2 scientific issues. So since that was the last,
3 that's where we start, but as has been pointed out,
4 it's by no means necessarily the only place we could
5 start, and hence the online web community has -- I
6 have spoke to a lot of occupational therapist and
7 vocational experts who know a lot about what's going
8 on in the economy, and what -- you know, there might
9 be some technical issues here. I think posting these
10 kind of communities where, again, it wouldn't be the
11 only source. It would be the internal unit's
12 responsibility to determine accuracy and validate a
13 lot of this; but there is no good methodology for
14 identifying exactly how many job titles there are out
15 there, and how many people hold them, because the
16 Department of Labor just simply hasn't done anything
17 at this level for over 20 years.

18 MR. HARDY: I guess this is Tom again. I
19 sit back and see the DOT at one end of the spectrum
20 and the O*Net -- maybe not at the other end of the
21 spectrum, but another point of evaluation. I just
22 am concerned that we talking about data collection

1 and analyzing work activity and trying to start
2 getting representative information for occupations
3 without defining occupations. And I see the
4 conundrum, and, you know, I hear exactly what you
5 are saying; but I'm thrilled to see what you guys
6 are doing in this section of the recommendations;
7 but I am asking to see if -- developing further and
8 see if you are absolutely heading in the right
9 direction.

10 DR. WILSON: Great. Love to hear that
11 kind of stuff, Tom.

12 Other comments on this section, which, you
13 know, it generates the most comments, because it is
14 the one where we had the most recommendations here.
15 This really is kind of the meat of our attempt to
16 create a system to bring about a taxonomy and
17 classification system. Concerns? Questions?

18 Okay. Then hearing none, I will go on to
19 the last three recommendations under OIS Maintenance,
20 which also is relevant to some of the discussions we
21 have had. One of the problems is we are trying to
22 hit a moving target here. Work doesn't hold still.

1 If you think the census is difficult and trying to
2 figure out how many people there are, figuring out
3 what they're doing at work is an order of magnitude
4 more complicated in terms of trying to get some sort
5 of cross sectional description at any one time that's
6 100 percent accurate. But we recognize that the work
7 world is changing, so we made three recommendations
8 with regard to maintenance.

9 The first one, which has been talked about
10 a lot is another web-based community that would
11 comment on the quality and accuracy of items and
12 information over time and make suggestions where they
13 think information is no longer accurate or needed,
14 because I can't remember the term Tom used, but I
15 like it for jobs that don't exist in any numbers
16 anymore.

17 The second recommendation in this area is
18 that -- and another way to get at the issue and
19 concern that Tom raised is that we should randomly
20 select jobs for audits from an operational standpoint
21 in terms of determining are they still valid. Are
22 they still useful, things of that sort, so that they

1 remain up to date.

2 Another issue that we have in this
3 recommendation, which, I think, at least tangentially
4 gets at Tom's concern, is the idea of identification
5 of an expiration date. Is this going to be the same
6 for all kinds of work? No, probably not. But no one
7 has longitudinally and systematically studied work
8 for a very long time. So we don't know how rapidly
9 work is changing. We certainly have no data. We
10 have a lot of experts opinions, and, you know, work
11 is changing at rapid rates in some areas; but we
12 don't have any data to prove that.

13 Our third and final recommendation is that
14 the occupational information system be reviewed from
15 time to time to keep it up to date and remove items
16 as work changes. And that concludes all the
17 recommendations.

18 Any comments or concerns with regard to
19 Maintenance?

20 DR. BARROS-BAILEY: Mark, this is Mary.
21 I'm going through the recommendations, and I know
22 that in the draft of the report you make a

1 recommendation for classification. I somehow seem
2 to miss that recommendation in this set.

3 DR. WILSON: That would be recommendation
4 number eight.

5 DR. BARROS-BAILEY: Okay.

6 DR. WILSON: In OIS Data Collection and
7 Analysis.

8 DR. BARROS-BAILEY: Okay. So you talk
9 about common metric, but in terms of your report,
10 you are talking about it on the very broad scale
11 being SOC tied within --

12 DR. WILSON: I'm sorry, Mary the line --
13 there was some interference in the line. I didn't
14 get to hear your question.

15 DR. BARROS-BAILEY: Within the report --
16 not articulated within this particular
17 recommendation, but within the report, you make
18 recommendations in terms of the classification being
19 broadly linked up to the SOC.

20 DR. WILSON: I said that, right. One of
21 the things that we did was provide the 23 level SOC
22 categorization. We integrated that into Table 2.

1 So we should be able to make some efforts to
2 crosswalk back to the SOC. I don't really know
3 enough about Bureau of Labor Statistics and how
4 Department of Labor is generating some of these
5 experts. We lost a member of our Panel who knew a
6 lot about that just as we were beginning to get into
7 our exercise. I certainly think it's an area that
8 needs to be explored in terms of greater
9 classification. I think the issue is classification
10 for what. And I think that Bureau of Labor
11 Statistics and Department of Labor are classifying
12 work from a very different standpoint, for a very
13 different need than what SSA is doing. They almost
14 seem to be going in opposite directions in terms of
15 what their interests are.

16 So it needs to be investigated, but I am
17 not optimistic that this is an area where we can rely
18 a lot on DOL efforts. I think it's another area
19 where the needs are unique and SSA is going to have
20 to take on this activity on -- once we have what we
21 consider to be a fairly heavily sample descriptor of
22 most work in the U.S. economy on a common metric, we

1 will be able to identify job classification scheme
2 with far more precision and much better accuracy than
3 anything that currently exist.

4 DR. BARROS-BAILEY: Thank you, Mark.

5 MR. HARDY: This is Tom. I had one more
6 question for you, Mark.

7 DR. WILSON: Sure.

8 MR. HARDY: Excuse me. Like I said, I'm
9 really encouraged about what you are doing. When
10 you are looking at this, is your thought in the
11 future -- I am not talking about this recommendation
12 per se; but in the future will we be clustering work
13 activities, do you think, to get us to some sort of
14 way of defining discrete occupations?

15 DR. WILSON: This data would certainly --
16 you know, what we're getting into are some fairly
17 technical issues. We would certainly be using some
18 sort of profile analysis to look at various
19 descriptors for various job to see, you know, what's
20 similar and what's different.

21 I mean, if you look at the issue of job
22 classification, SSA actually has someone who -- some

1 of their very early work is -- was on this very
2 issue. Sort of technical details and how you go
3 about doing job classification. So they certainly
4 have some high powered expertise in this area. I
5 hesitate to get into a lot of the details.

6 The other thing I think I heard you say
7 Tom, which I think is slightly different, is out of
8 the behavioral, measurable, observable items that are
9 actually collected, coming to some sort of composite
10 or scale scores that might be referred to as higher
11 order. The famous ones being data people things.

12 You know, I'm sure that one of the things
13 that we will find when we factor analyze this
14 instrument, assuming we go down this road, is that
15 the second order analysis is likely to return a data
16 people thing, sort of structure. So if you want to
17 get at this issue of complexity, jobs that have high
18 data people things factor scores are likely to be
19 more complex.

20 So we will definitely be able to do that
21 kind of stuff as well. So one is on comparing one
22 profile and descriptors for one type of work to

1 another; that's the classification. Then within the
2 descriptors themselves, looking for scaled and
3 composites and things of that sort, gets at, I think,
4 the other part of our question.

5 MR. HARDY: Right. We're getting there.
6 I just wanted to check and kind of get a feel of
7 where you are heading, and I appreciate it; thank
8 you.

9 MS. SHOR: Mark, this is Nancy Shor. Can
10 you help me understand if there is anything in your
11 work on your subcommittee that ties into the legal
12 requirement of establishing that an ultimate job --
13 or ultimate jobs exist in significant numbers in the
14 national economy?

15 DR. WILSON: That, we didn't really --
16 it's an important question. We think that by some
17 of the methods and sampling procedures we might be
18 able to provide some insight on that. But again, as
19 I said earlier, when we were talking about some of
20 the other problems I think it's here. I think this
21 is the issue where the Department of Labor is going
22 to have to somehow help us step up and provide this

1 information. I think this is the one area, given
2 what they do with the SOC and things of that sort.
3 It is just something they still seem to be doing for
4 their own purposes is identifying what work exist
5 and what numbers, and things of that sort.

6 So I think it's there once we have
7 established what our descriptors are and how they
8 relate back to the classification scheme that
9 Department of Labor uses.

10 I probably shouldn't say this in public,
11 but I'm going to go ahead and say it, is I think at
12 some point our work taxonomy is something the
13 Department of Labor ought to consider. It goes back
14 to a point that David was making earlier in terms of
15 suggesting some items for them. I very quickly will
16 know more about work and the underlying
17 dimensionality than anyone else in the federal
18 government, certainly at the level of what people
19 actually do.

20 And so I'm hopeful that over time the work
21 that we're suggesting would maybe inform some of the
22 occupational classification schemes that they use to

1 go out and do their census. In the meantime, I think
2 it's going to have to be looking at their rather
3 aggregated blocks of data and trying to figure out
4 methods of disaggregating them. And again, this is
5 kind of more in the labor of top economics. It's
6 outside my area of expertise, but that was sort of
7 what I saw that linkage to be. I don't know if I
8 answered your question or not, Nancy.

9 MS. SHOR: Well, I think it's an issue
10 that ultimately can't be dodged. And that the --
11 the description of jobs without an ability to
12 identify how many of those jobs exist is really
13 going to be problematic.

14 DR. WILSON: Yeah, it's a good point.
15 It's kind of a circular issue, because until you
16 have an accurate description, if you go out asking
17 people about job titles, as we have raised a number
18 of times, that could be very misleading, especially
19 with some kinds of work. And so I think part of the
20 effort of this unit is going to have to be around
21 developing methodologies that are scientifically
22 defensible to enumerate work at the level at which

1 it actually exists. It would be impossible to do
2 that without a good common metrics.

3 So we think we're laying the foundation
4 there. But given our area of expertise, we didn't
5 want to get too direct about something that we felt
6 was not one of our core competencies.

7 DR. BARROS-BAILEY: This is Mary. I just
8 want to remind the Panel that when Jim Woods was on
9 the Panel he did mention during that April meeting
10 that NAICS might be an opportunity to look at that.
11 So there might be a variety of ways we might come at
12 a particular issue when we need to address it.

13 We have been on the line now for about a
14 hour and 25 minutes. We still have three reports to
15 go through. We had indicated that we would be on
16 until about 2:00 o'clock, but the Panel knows that we
17 might need to go beyond 2:00 o'clock eastern time.

18 I'm going to ask for any final thoughts or
19 concerns regarding the Work Taxonomy Classification
20 Subcommittee, and ask people to maybe keep their --
21 the questions and answers short so we could go on to
22 the other subcommittees. So I will ask that question

1 first. Are there any thoughts, concerns, questions
2 for Mark?

3 Okay. Because we will probably be going
4 beyond 2:00 o'clock, does the Panel want to take
5 about a five minute break now and then come back, or
6 should we proceed on to the next subcommittee? Any
7 thoughts?

8 MS. RUTTLEDGE: I'm fine with just going.
9 This is Lynnae.

10 DR. GIBSON: Let's proceed.

11 DR. BARROS-BAILEY: Okay. I'm going to
12 ask if Deborah Lechner is on.

13 MS. LECHNER: Yes, I am, Mary.

14 DR. BARROS-BAILEY: Okay. Then I know,
15 Deborah, that you are dealing with a timeline today.
16 So Tom, if you don't mind I'm going to ask Deborah
17 to submit her Physical Demands Subcommittee report,
18 and then we will get to yours.

19 MR. HARDY: Oh, absolutely. I was going
20 to go off just in case. So that's great.

21 DR. BARROS-BAILEY: Thank you, Tom.

22 Deborah is the Chair to the Physical

1 Demands Subcommittee. If you will start maybe by
2 introducing your subcommittee members.

3 MS. LECHNER: Yes. Thank you, Mary. I
4 appreciate everyone being flexible on the schedule
5 today to help me out. The Panel members who were on
6 our Physical Demands Subcommittee are Dr. Mary
7 Barros-Bailey, Dr. Gunnar Andersson, and Sylvia
8 Karman, who also serves as the Project Director from
9 Social Security Administration.

10 And just to give a little recap, the
11 subcommittee has met twice face-to-face during panel
12 meetings; twice on a teleconference meeting, and then
13 we have considered input from presentations that were
14 given at the Panel meeting. We've considered the
15 written input from AOTA, ATA, and IARP. We reviewed
16 13 Social Security Administration papers, and about
17 50 external references. Some of the panel members
18 have attended DDS and ODAR hearings.

19 And then the issues that were considered
20 foremost in our deliberations were the application of
21 physical demands taxonomy within Social Security's
22 five step process. We certainly considered the

1 deficits or the changes we felt were needed in our
2 current DOT, SCO classificational content model. We
3 considered the effects of changes on our medical and
4 rehab communities who also use the classification
5 system, and considered the input from user groups.
6 And so I will move on to a list of our
7 recommendations.

8 First of all, in the manual materials
9 handling strength categories, I think the unanimous
10 input that we received from almost everyone was that
11 we needed more categories, and categories that were
12 not as broad; and that a system that increased the
13 categories by small weight increments might provide a
14 solution. And that we -- we deliberated on several
15 specific recommendations, but then we decided that we
16 would be better off to wait and just have the data
17 collection begin, analyze that, and make some future
18 recommendations about a scale that might be more
19 applicable or better -- more -- better utilized than
20 our current scale.

21 Within the specific postures and positions,
22 mobility and movements and psychomotor issues, we

1 were recommending that SSA develop a system that
2 distinguishes two types of lifting, above and below
3 waist. Primarily because both of those different
4 types of lifting require entirely -- or emphasizes
5 entirely different muscle groups that are important
6 depending on the applicant's or the claimant's
7 specific disability.

8 We also recommended that reaching be
9 subcategorized in three different heights; above
10 shoulder, shoulder to waist, and below waist. In
11 addition, that the reaching requirement should be
12 designated as either one handed or two handed.

13 We also recommend the addition of
14 keyboarding and use of the mouse "slash" touchpad
15 function, just because the use of computer
16 keyboards -- computer keyboards and mouse or mice --
17 I guess however you want to say it -- but that
18 utilization is so prevalent. And even in industries
19 that were not formally considered an office
20 environment that we just think that that's important
21 to separate that out in terms of hand function.

22 We also felt that we needed updated

1 descriptions to address gripping and forceful
2 pinching.

3 We also recommend that documenting
4 unilateral and bilateral requirements of occupations.

5 We recommend the addition of descriptors
6 for trunk or body rotation and twisting; and we
7 recommend the same sorts of descriptors for neck
8 rotation, twisting and bending.

9 We recommend the addition of descriptors
10 for forward bending from a sitting position.

11 We recommend increased specificity for
12 climbing.

13 We need an addition of a category of
14 running as a physical requirement.

15 We recommend the expansion of categories
16 for balance.

17 We recommend a separate classification for
18 sitting, standing, and walking. And when we say
19 "separate," we mean separate from the overall
20 materials handling or strength classification.

21 Currently, the strength classifications of
22 sedentary, light, medium, and heavy also include the

1 requirements for sitting, standing and walking; and
2 we feel that those should be separated out into two
3 different classification systems.

4 We recommend the addition of jobs for which
5 a sit/stand option is possible; and we also recommend
6 notation of occupations that allow the use of
7 assistive devices.

8 We recommend adding documentation for the
9 operation of foot controls, and whether one or
10 two feet are required.

11 We recommend additional descriptors for
12 repetitive twisting of the wrist and forearm; and
13 addition of descriptors for handwriting.

14 DR. BARROS-BAILEY: Okay. Deb, I'm going
15 to ask you to stop right there and see if anybody
16 has any questions or concerns or comments? Okay.

17 MS. LECHNER: You want me to continue,
18 Mary?

19 DR. BARROS-BAILEY: Yeah, that would be
20 great.

21 MS. LECHNER: Okay. With the -- those are
22 basically the new or modified descriptors that we're

1 talking about.

2 Then we also made some recommendations of
3 how these descriptors should be rated. So there was
4 a request for the addition of some sort of rating
5 relative to repetition. We recommend a thorough
6 analysis of the literature on repetition, so that we
7 can come to definitions for repetitive work that are
8 appropriate and substantiated in the literature.

9 And the duration. Several groups have
10 mentioned that a scale for duration is important.
11 Most of the folks that provided input and the user
12 groups feel that the current categories of never,
13 occasional, frequent, and constant, having the day
14 divided into a third is a bit too broad. So most of
15 the user needs groups and individuals recommended or
16 requested some sort of category at the lower end of
17 the scale that was designated as a seldom or a rarely
18 category; and in addition to that, IARP has requested
19 that our classification system include something that
20 addressed those who have to work longer than an eight
21 hour day.

22 Physical demands performed in the length of

1 the work day should be captured in the data gathering
2 process when the jobs are analyzed; and then once the
3 data is analyzed, we could have more specific or
4 develop more specific recommendations regarding how
5 we should best address this issue of duration.

6 In addition to having or to documenting
7 just a total duration for the total work day, we also
8 felt it was important to document how long of a
9 continuous duration was required. For example, an
10 occupation could require that something be performed
11 up to a third of the day, which would fall into the
12 definition of occasional; but then some occupations
13 might require all of that one-third of the day be
14 performed continuously, where in other occupations
15 that one-third of the day would be interspersed
16 intermittently throughout the day; and there is quite
17 a bit of difference in the physical demand depending
18 on whether it's continuous -- the whole one-third of
19 the day is continuous or whether it's divided up
20 intermittently. So we felt that some indication of
21 continuous versus intermittent direction should be
22 provided.

1 And then maximum continuous distance for
2 the dynamic movements like, carry, push, pull, walk,
3 run, climb, crawl, et cetera, the maximum continuous
4 distance could be a really important occupational
5 demand relative to -- because if a claimant can only
6 walk 50 continuous feet and the occupation requires
7 considerably more continuous walking, then, there is
8 an obvious mismatch between what the claimant can do
9 and what the occupation requires, you know.

10 And so then there is this whole issue of
11 variation within an occupation. Because we -- if
12 these occupational categories are to be populated by
13 data that's collected on the various -- on a variety
14 of different jobs, one job in the same occupation
15 could theoretically fall into -- let's say if we're
16 still using the sedentary, light, medium, heavy
17 category. One occupation -- one job within that
18 occupation could fall into the medium category,
19 whereas at another location it falls into the heavy,
20 depending on the size of the thing -- of the material
21 being handled.

22 So each job analysis we are proposing that

1 on every job that's analyzed that the analyst reports
2 what is the worse case scenario for that job, and I
3 know we need to work on some other language other
4 than, perhaps, worse case scenario. But let's say if
5 you are analyzing the job and above waist lifting
6 happens and there is a 20 pound above waist lift, and
7 there is a 30-pound one, and there is a 50-pound one;
8 then for that individual job the 50-pound weight
9 would be required to perform that specific job.

10 Once that data is locked into an
11 occupational category we thought that instead of
12 trying to say, okay, let's classify the occupation
13 according to the worse case job that instead we would
14 try to capture in some way the mean requirement for
15 that occupation, or depending on how detailed our
16 database is to know how many jobs within that
17 category are at the different levels of materials
18 handling.

19 So the occupation itself, the occupational
20 category would not necessarily be categorized
21 according to the worse case job, because you could
22 have an outlier in that category of job that was

1 required at 50 pounds, and that's the only job out of
2 100 jobs in that category that really required that
3 much lifting. So I will pause again before I start
4 with Sensory and ask for questions.

5 DR. SCHRETLEN: Deborah, this is David
6 Schretlen.

7 Let me make sure I understand what you are
8 suggesting for the -- in that last point, each
9 occupation will be studied by observing and measuring
10 physical demands in multiple representative jobs.
11 What you are suggesting is that if you study ten
12 specific jobs in an occupation that the maximum
13 weight lifted above the waist might vary across these
14 examples of the occupation from 20 to 50 pounds. And
15 what you are suggesting is that what is designated as
16 the required strength for that job would be the
17 average of those maximum.

18 MS. LECHNER: Yes. Possibly the maximum
19 or the average, or we also had some discussions
20 about if there is knowledge of what percent of the
21 jobs fall into a certain category so that if
22 80 percent of the jobs fell into the 30 pound

1 category, then, that is what would be listed. There
2 would be some sort of cut point. Does that make
3 sense?

4 DR. SCHRETLEN: Yes, but either way you
5 are looking at the maximum requirement; and then you
6 are taking some -- you are either taking the average
7 of those maxima or some cut point within the
8 maximum --

9 MS. LECHNER: Yes.

10 DR. SCHRETLEN: -- that are represented.

11 Rather than just saying what is the average
12 weight required on each of these ten -- what is the
13 average amount that people are required to lift on
14 each of these ten jobs.

15 MS. LECHNER: Right.

16 DR. SCHRETLEN: Because that's very
17 different. The average of the average, versus the
18 average of the maximum.

19 MS. LECHNER: Yes. I am talking about --
20 we're talking about doing the average of the
21 maximum. Because in reality when people are
22 required to do the job, they are required to do the

1 maximum amount for that job.

2 DR. SCHRETLEN: Right. Right. I
3 certainly agree that it should be higher than the
4 average of the maximum, because otherwise you would
5 be eliminating -- I mean, you would be setting the
6 bar at an extremely high level.

7 MS. LECHNER: Exactly.

8 DR. GIBSON: This is Shanana. Can I please
9 just have classification on that as well.

10 My concern is that we stick to rating the
11 work on the job side as it exist and not asking
12 people what is the worse case possible they might
13 imagine, because then that becomes an extreme, which
14 is not necessarily the work as it exist. Does that
15 make sense?

16 MS. LECHNER: Yes. No, that's not what
17 I'm suggesting Shanana.

18 I'm talking about if we go and measure a
19 job, and there are -- we measure three different
20 above waist lifts, then, we have to report that job
21 requirement as the heaviest of those three that we
22 have measured. Does that make sense?

1 DR. GIBSON: It does. That would be the
2 requirement of the job, to lift up to 50 pounds?

3 MS. LECHNER: Right.

4 MR. HARDY: This is Tom. I just want to
5 make sure I am following along here real quick, Deb.

6 Say you got a drill press operator who is
7 working on some really tiny thing and lifting is
8 listed as 10 pounds. Then you have got another drill
9 press operator who is working on something very large
10 and it's 50 pounds. In that case are we talking
11 about -- because you are talking about, perhaps,
12 maybe a different product or a different material.
13 Would you see that as being a way of breaking those
14 down to two different occupations once you have
15 reached that great amount of variability and
16 difference in product and what's being utilized? Or
17 would you keep those together and then go with what I
18 think I hear you saying?

19 MS. LECHNER: Yes, that's a question that
20 I would kind of bounce back to Mark's committee, you
21 know, when -- how much variability -- when we start
22 analyzing jobs that are within an occupational

1 category, how much variability do we have to
2 discover before we start breaking it down into two
3 separate occupations.

4 MR. HARDY: Yes, that's exactly my
5 concern.

6 DR. WILSON: This is Mark Wilson. A
7 couple of issues here. One, sure, if we could find
8 that there are -- you know, for lack of some better
9 word, you know, heavy drill press operators versus
10 light ones and what seemed to split those was the
11 enormous amount of additional physical effort in one
12 area versus another that, you know, that would seem
13 to be some logical place to split work apart. And
14 again, it goes back to what we don't know now. You
15 have to understand that right now there is no common
16 metric. There is no way to accurately compare one
17 type of work to another in any kind of consistent
18 manner. So what we will be able to do will allow us
19 to get at that.

20 But on the physical side and on the
21 cognitive side, to me, the bigger issue is what are
22 the underlying taxonomic structures of physical and

1 cognitive interpersonal attributes that will be
2 inferred from what the work requires. You know, how
3 much and what level of precision is something that
4 SSA is going to have to determine when it comes to
5 setting specific job side descriptors. And so there
6 is really two issues here. What do you ask about the
7 work that would allow us to infer physical
8 requirements? And then the second one is, you know,
9 in what ways do you look at that and use it to
10 combine into much more accurate job descriptions of
11 what's actually taking place?

12 MR. GUNNAR: This is Gunnar.

13 I think that, generally speaking, we -- we
14 will find that there are a large number of
15 occupations in which the physical demands are quite
16 different. So if you work, for example, in retail
17 you may be in a job where you have to handle mortar
18 rockets, and you may be in one where you handle motor
19 rockets; but you are in the same type of job. The
20 demands are different.

21 MS. LECHNER: Yes.

22 MR. GUNNAR: The same applies to lots of

1 categories. You know, there are carpenters who do a
2 lot of very heavy physical work; and there are
3 carpenters who basically do no heavy physical work.
4 They work inside and just make boxes.

5 DR. WILSON: I think it's an important --
6 this is Mark Wilson again.

7 It is an important point. Again, if we
8 have a common metric where we have a standard set of
9 descriptors, and we have information about what the
10 physical demands are, and if Social Security does, we
11 want to know not just -- you know, if you think about
12 it from the standpoint of the way the Department of
13 Labor does things where they like to not look at what
14 the physical demands are, but what industry you are
15 in. So they will talk about, you know, accountants;
16 and they will have 15 different accountants in their
17 database based on what industry.

18 Same thing with Gunnar's recommendation of
19 our discussion about the types of retail employees.
20 If Social Security wants to, they can -- the
21 classification system is up to them to design based
22 on what policy issues they have, and what they want

1 to focus on, on both the physical side and on
2 cognitive, interpersonal side.

3 MR. GUNNAR: Right.

4 DR. BARROS-BAILEY: Is there anymore
5 discussion on that one recommendation? Anymore
6 discussion on this section?

7 Okay. Deb, thank you. If you would go on
8 with your report.

9 MS. LECHNER: Okay. I'm going to go on to
10 the sensory. Basically, our recommendations there
11 were defining talking within the physical demands
12 context in terms of the quality of speech rather
13 than the receptive or expressive qualities that are
14 more part of the mental and cognitive issues. And
15 then consider more discrete, appropriate, functional
16 levels of measurement for feeling, vision, and
17 hearing than are in the current DOT, SCO. And
18 although not frequently encountered as an impairment
19 consideration, also including some taste and smell
20 sensory demands due to their relevant and -- as
21 essential and core functions in a few occupations.

22 And then in the -- I will go on to the

1 environmental, then we can pause and comment on
2 sensory and environmental.

3 In environmental we are looking to describe
4 environmental conditions as they relate to heat,
5 cold, humidity, moisture, wetness moisture, dust,
6 chemical fumes, gases, smoke, mold or mildew, fibers
7 including asbestos, vibration and general conditions
8 of the workplace, such as hazardous environment,
9 heights, closed spaces, and so forth; animals, noise,
10 et cetera.

11 Define appropriate measures for each
12 condition where possible. For example, on noises and
13 vibrations there would be details of the level and
14 time of exposure; or, at a minimum, include
15 descriptions of levels of exposure, concentration or
16 severity, frequency, and any accommodations that
17 might be available to address the effect of the
18 exposure, like personal protective equipment.

19 Okay. And that concludes the
20 recommendations for the physical demands.

21 DR. BARROS-BAILEY: Are there any comments
22 or concern or questions about the sensory or

1 environmental sections of the report?

2 DR. SCHRETLEN: Yes. David Schretlen. I
3 guess one thing I wonder is sort of going back over
4 the entire report, Deb. There are all these
5 recommendations at the top -- at the beginning it
6 says, we recommend adding this or documenting
7 increasing specificity. Are all of those -- I mean,
8 are those on top of existing physical, residual
9 functional capacity assessments or job demands that
10 are already implicit in physical RFC assessments?

11 In other words, are these recommendations
12 in addition to something that already exist?

13 MS. LECHNER: Yes, that's right. It's in
14 addition, David; that's a good point. It's in
15 addition to the current DOT "slash" SCO
16 Classification System.

17 DR. SCHRETLEN: Okay. And is there any --
18 did you give any consideration to eliminating things
19 or reducing, you know what I mean, simplifying.
20 Because I mean, it seems like this is significantly
21 increasing the complexity of job characterization.

22 MS. LECHNER: Right.

1 DR. SCHRETLEN: Was there any effort to --
2 in a compensatory way to simplify anything?

3 MS. LECHNER: Well, we did not get any --
4 I can't think of any request from any user group or
5 within SSA internally to eliminate anything.

6 DR. SCHRETLEN: Okay. I guess in a way
7 that doesn't surprise me. But in some ways I am
8 wondering if some of these are just so, so discrete
9 that we wind up sort of capturing very, very small
10 percentages of variants.

11 Like, for instance, I can definitely
12 appreciate the value of noting extreme environmental
13 conditions, like extreme heat or cold or exposure or
14 heights; but I am wondering like mold and mildew, and
15 fiber, and animals. What percentage of jobs, you
16 know, vary significantly in those dimensions in ways
17 that relate to impairments caused by diseases?

18 MR. GUNNAR: This is Gunnar.

19 DR. SCHRETLEN: What disease -- what
20 common disease makes you really intolerant of mold?

21 MS. KARMAN: This is Sylvia. Asthma.

22 MR. GUNNAR: This is Gunnar. I think that

1 because they are so rare, it's not a heavy
2 requirement, because you are going to rarely have to
3 put it in as a descriptor.

4 DR. SCHRETLEN: Well --

5 MR. GUNNAR: But if it does exist, I think
6 it is important, because there are certain people
7 who clearly cannot handle it.

8 DR. SCHRETLEN: But aren't these things
9 that every job -- every single job would be
10 characterized in terms of?

11 MR. GUNNAR: Hopefully.

12 MS. LECHNER: I guess I'm not
13 understanding, Dave, what you are asking.

14 DR. SCHRETLEN: I guess I'm wondering
15 whether characterizing jobs in terms of the exposure
16 that a job incumbent has to animals it would be -- I
17 mean, just adds a lot to the information burden of
18 characterizing jobs for a very, very, very small
19 fraction of jobs.

20 MR. GUNNAR: For those it could be quite
21 critical.

22 MR. HARDY: This is Tom. David, you are

1 actually saying several things that I'm sitting here
2 thinking. I have to say I think we need to expand
3 on some of these categories. We need to increase
4 the specificity with which we are doing some of this
5 stuff. Conversely, Deb, do you have an idea of how
6 many things from the critical side -- how many
7 discrete things you would be tracking if you added
8 these new pieces to what is currently existing?

9 MS. LECHNER: I have not counted the exact
10 number, Tom; but we can go back and do that.

11 MR. HARDY: Okay. Well, I guess from my
12 point of view -- maybe I am not quite following
13 along with you, Dave -- but from my point of view I
14 am sitting back and go, we need to measure at
15 certain levels. We need more complexity.
16 Obviously, you know, we can't keep measuring on
17 measurements that came up a long time ago.
18 Conversely with every measurement that we are adding
19 in, we are adding data collection. We are adding
20 data tracking.

21 We are also adding for the possibility of
22 maybe when we come to the slice and dice part of all

1 this down the road for classification that we may end
2 up classifying in more discrete ways and ending up
3 with a much larger number than 12,000 if we have to
4 start doing any slicing and dicing using all of these
5 pieces of information. So I see a couple of down the
6 road implications.

7 That's why I go back to Dave and say, yeah,
8 I kind of hear what you are saying about maybe it's
9 good to add new pieces, but can we offset that in any
10 way so that we are not making a very large complex
11 system that's going to require a lot of data
12 collection? And conversely we're going to be
13 requiring physicians to rate.

14 Does that get where you were going, Dave?

15 DR. SCHRETLEN: Yes.

16 MR. HARDY: Okay.

17 DR. WILSON: This is Mark Wilson. I think
18 that's an important discussion. It's one reason I
19 think, in terms of how you go about generating items
20 whether you are talking about work descriptor items
21 or human side attributes. If you start out from a
22 taxonomy, you know, whatever the existing taxonomy.

1 And we were lucky in our case that there were
2 several empirical articles out there. I think that
3 helps with the whole efficiency of measurement
4 argument.

5 I think if you start out with end users and
6 start collecting laundry lists, it's going to get
7 pretty big, pretty fast. And a lot of times what you
8 will find is that they're useful in a few cases -- or
9 they're highly related to other things in terms of an
10 intercorrelational sense. If you know "X" about a
11 job, you will also know "Y" and "Z" exist. So it may
12 not necessarily be the case that we have to ask all
13 of these things, because they're so highly
14 intercorrelated.

15 I think it's one of the ideas of the -- one
16 of the ideas of the pilot study is that -- the
17 assumption we should probably look at more items than
18 what would end up in any kind of an operational sense
19 precisely because we want to deal with the kinds of
20 concern that Tom is talking about. As you get more
21 items and generate more specificity in certain areas,
22 you oversensitize your description to things that may

1 not really matter for the purposes of disability
2 determination.

3 So I don't think it's something that we can
4 necessarily identify for sure what exactly the right
5 level of precision is, but I think the way to
6 proceed -- and that was kind of my question for
7 Deborah is linkage of all of this back to existing
8 taxonomies with human physical attributes might help
9 you identify, you know, are we oversampling in some
10 areas, and potentially undersampling in others?

11 MS. KARMAN: This is Sylvia.

12 Mark, are you suggesting that -- because
13 I'm thinking that this may -- well, this is what I
14 was thinking about is that if we -- the extent to
15 which we might be identifying elements that are
16 initially studied so that when we go out and do our
17 field testing of job analysis instruments, then, we
18 can take a look at the data when we get it back and
19 determine how things are grouping, and some things
20 are just going to come off the list, because we see
21 that they're either correlated with other things; so
22 you know that if A exist, B exist; that sort of

1 thing.

2 DR. WILSON: Right. Exactly. Which I
3 think was David's point was that -- and where you
4 get your data -- where your suggestions come from
5 are important in the sense that if you just start
6 from previous taxonomies or your known existing
7 taxonomy, that's likely to be more efficient than if
8 you simply go to end users and start off with what
9 would you like to know about. They're going to base
10 that on, you know, unique experiences that they have
11 had where, you know, they wish they had asked as to
12 why. You might end up with a much larger list if
13 you are going to need to pair and organize around
14 some sort of systematic, hopefully empirical
15 taxonomic structure.

16 So unfortunately -- and I think David
17 before has said that, you know, in some areas on the
18 cognitive interpersonal side there is pretty good
19 factor analytic evidence for what the underlying
20 structures are and other cases, that there is not as
21 much. I'm not as sure on the physical side, but I
22 mean, that's how I would go about this issue of

1 whether it's, you know, designing a new RFC and what
2 all needs to be on there, and an MRFC or a new work
3 analysis instrument.

4 The closest we have to theory to guide us
5 are empirical taxonomies. And if we start from that
6 we're less likely to over -- to ask for too much,
7 overburden the system with the number of potentially
8 unnecessary items.

9 MS. KARMAN: So --

10 MS. LECHNER: And that's -- just to let
11 the group know, that's something that the Physical
12 Demands Subcommittee has had multiple discussions
13 about just because, you know, we have struggled with
14 how much detail is too much and how much is not
15 enough. So we certainly had those discussions and
16 we share the group's concerns.

17 The challenge that I wonder about, and
18 let's say we decide that through our own empirical
19 studies and -- are looking at some of the other
20 empirical databases that, well, we don't really --
21 you know, running only occurs in maybe a tenth of the
22 occupations, and so we're going to eliminate running

1 from the physical demands list.

2 And then let's say there is a city
3 firefighter or a policemen that has to run for their
4 jobs and they apply for Social Security disability.
5 Then -- and there is no data collected on the
6 requirements of running for their occupation or any
7 occupation. So then -- and that's one of the key
8 things they can no longer do. So what does Social
9 Security do in that instance?

10 Or you know, another example might be, I
11 have got asthma. I cannot work in environments where
12 the mold count is over "X," and there is no data
13 collected on that in any of the jobs. So then what
14 do we do? What does Social Security Administration
15 do with those kinds of claims?

16 DR. SCHRETLEN: This is David Schretlen.
17 I think if you are going to error, it makes sense to
18 error on the side of being overly specific on the
19 front end, then pair it down through pilot studies,
20 and then, you know, other studies. I am just
21 wondering if some of these are already going to be
22 characterized as demands of work environments or

1 jobs that are so rare that there are many, many
2 other jobs that don't involve those characteristics,
3 like exposure to animals or mildew.

4 MR. GUNNAR: And therefore, it's not a big
5 deal.

6 DR. SCHRETLEN: It's only a big deal if
7 you are going to characterize 2,500 jobs for the new
8 OIS; if you're going to go through and try to
9 determine for each and every job how much
10 environmental mildew there is.

11 MR. GUNNAR: You think that is very
12 difficult? I mean, I think you are running up the
13 wrong tree. I think that figuring out whether or
14 not these things are part of the job is important,
15 because a lot of people will not be able to do their
16 jobs under certain of those circumstances.

17 What I get today when I have a patient with
18 back pain and I send the patient to a functional
19 capacity evaluation, it is mostly -- what's in the
20 occupational titles is very little of it. When I
21 get -- if I ask for a job description it is most of
22 these things, almost all of them always. So people

1 are connecting them. They are just not part of the
2 occupational titles.

3 But if I want to know what -- if I have a
4 patient with back pain and I want to know what kind
5 of load that patient is going to have at work, it's
6 more important to me to know if he lifts from the
7 floor, than to know if he lifts 25 or 50 pounds.

8 DR. BARROS-BAILEY: This is Mary. We are
9 just over 2:00 o'clock. I wanted to kind of wrap up
10 the Physical Demands Subcommittee to make sure that
11 there are no additional questions or concerns.

12 Okay. If there are none, thank you,
13 Deborah, for doing that.

14 Let's go ahead and take a five minutes
15 break. Come back in about five minutes, and we will
16 go on with the other two subcommittee reports.

17 (Whereupon, a recess was taken.)

18 DR. BARROS-BAILEY: We're going to be
19 reassembling here. I'm going to ask our Designated
20 Federal Officer to go through the list again and
21 make sure everybody is still on.

22 MS. TIDWELL-PETERS: Hi. Okay. We have

1 Gunnar Andersson.
2 DR. ANDERSSON: Present.
3 MS. TIDWELL-PETERS: Mary Barros-Bailey.
4 DR. BARROS-BAILEY: Here.
5 MS. TIDWELL-PETERS: Robert Fraser.
6 DR. FRASER: Here.
7 MS. TIDWELL-PETERS: Shanan Gwaltney
8 Gibson.
9 DR. GIBSON: Present.
10 MS. TIDWELL-PETERS: Thomas Hardy.
11 MR. HARDY: Present.
12 MS. TIDWELL-PETERS: Sylvia Karman.
13 MS. KARMAN: Present.
14 MS. TIDWELL-PETERS: Deborah Lechner.
15 MS. LECHNER: Present.
16 MS. TIDWELL-PETERS: Lynnae Ruttledge.
17 MS. RUTTLEDGE: Present.
18 MS. TIDWELL-PETERS: David Schretlen.
19 Okay. Dave is just a way for a second.
20 Nancy Shor.
21 MS. SHOR: I'm here.
22 MS. TIDWELL-PETERS: Mark Wilson.

1 DR. WILSON: Present.

2 MS. TIDWELL-PETERS: Okay. So we're just
3 waiting for David.

4 DR. BARROS-BAILEY: I think because we
5 have a quorum, I'm just going to go ahead and
6 continue on with the subcommittee reports.

7 Tom Hardy is the Chair of the Transferable
8 Skills Analysis Subcommittee. And I would ask Tom if
9 you could introduce your subcommittee members and
10 proceed with your report, that would be great. Thank
11 you.

12 MR. HARDY: Okay. This is Tom Hardy
13 speaking. I am the Chair of the TSA subcommittee.
14 Members of the subcommittee panel are Lynnae
15 Rutledge, Mary Barros-Bailey, Nancy Shor, Sylvia
16 Karman, and initially Jim Woods prior to his
17 resignation. Hopefully you got that, and we are
18 going to go ahead.

19 I know that we're under time constraints,
20 and I refer everybody to the history of the panel
21 activity as found in the report; and I will try to
22 just move right on into the meat of the

1 recommendations.

2 I will give a caveat that the
3 recommendations are pretty brief. They run from very
4 general to specific. This morning I spoke with Mary
5 and Sylvia about, perhaps, moving some suggested
6 areas of further research underneath these. I'm not
7 going to do that for purposes of this conversation,
8 since everybody does not have that. I will refer you
9 to page 23 and 24 where there are some suggested
10 areas, and you may see those moved in underneath
11 recommendations in the final product that comes out
12 at close of business.

13 Transferable Subcommittee analysis. We,
14 the panel, recommend the following -- the first three
15 are general recommendations, which I think pretty
16 much echo and tie into other recommendations that
17 have already been presented.

18 We recommend that SSA develop the
19 Occupational Information System in such a way that
20 the inference necessary to apply the data is reduced
21 to the greatest extent possible.

22 We recommend that SSA develop the OIS in

1 such a way that the degree of overlap or redundancy
2 between data elements and rating of data elements be
3 reduced to the greatest extent possible.

4 We recommend that SSA conduct validation
5 studies on the OIS information that it collects
6 regarding the data elements; and we recommend that it
7 be reported, first of all, whether the data that has
8 been captured or the data we intend to be captured;
9 and second, whether the data that's been captured
10 fulfill the needs described in this report. I will
11 stop there.

12 Those are the large general ones, which,
13 obviously, I think, refer back to being sure that we
14 are, you know, going in the right direction with
15 validity and all that stuff that we have discussed in
16 some of the other subcommittees. Are there any
17 questions on those three recommendations?

18 DR. BARROS-BAILEY: Was that a question?
19 I missed that. Somebody spoke up, and I wasn't sure
20 who it was and if there was a question. This is
21 Mary.

22 Okay. I guess not.

1 Go ahead, Tom. Thank you.

2 MR. HARDY: Okay. Moving on to specifics.
3 For content model and data development purposes we
4 recommend that SSA use work activities as an
5 observable and measurable data element as a proxy
6 for skill.

7 We recommend that SSA validate and study
8 the work activity data it collects to determine, one,
9 which of the work activities when combined with other
10 requirements of the occupation may rise to a level
11 appropriate to be called a skill. Two, what
12 continuum of skill level may be appropriate for SSA
13 application of these OIS data in its disability
14 adjudication process.

15 We recommend SSA develop a method for
16 determining the complexity level of the occupation
17 and the individual work activities.

18 We recommend SSA develop a method to
19 identify the time to proficiency for satisfactory
20 performance of an occupation.

21 We recommend SSA explore methods for
22 developing a rating scale for the length of viability

1 of the occupation based on its component work
2 activities.

3 And finally, we recommend that SSA develop
4 work context factors for the OIS, such as industry,
5 work setting, tools, machines, technologies, raw
6 materials, products, subject matter, processes, and
7 services related to the occupation.

8 And move for any questions on a specific
9 recommendation. It was our attempt to respond to
10 recommendations and findings that we delineate in the
11 report, especially regarding complexity, proficiency,
12 viability of skills; and then the ranking of skills
13 which were identified.

14 DR. FRASER: Tom, this is Bob Fraser.

15 The time to proficiency is kind of like the
16 specific vocational separation in the old DOT. In
17 the real world, you know, typically they say it's an
18 education criteria, "X" amount of education, or "X"
19 number of years of experience to reach proficiency.
20 Are we going to look at that two ways or --

21 MR. HARDY: The idea behind that is yes,
22 we would probably take the SVP ranking as it

1 currently exist and break it down into smaller
2 component parts. There is more specific
3 recommendations -- in fact, that is one of those
4 things I would be moving over from the back of my
5 report. We have looked at complexity as being
6 really a combination of things, because, as you
7 say -- or as everybody has said, SVP really talks
8 about education; but we also have a component of
9 on-the-job training. We have components of
10 expertise and proficiency that are not necessarily
11 looked at right now.

12 We would like to break that down from a
13 single scale into maybe two or three other component
14 scales to give a little more specificity and to
15 capture information that may be present for one
16 occupation and not for another that are now lumped
17 together and makes it hard to adjudicate.

18 DR. FRASER: Great.

19 MS. KARMAN: Hi, this is Sylvia.

20 Bob, I don't know whether this gets at your
21 question, but I will put this out there. The
22 question about SVP is that -- well, SVP is looking at

1 the specific vocational preparation for a particular
2 occupation not like on the job, so not like the
3 educational background individual.

4 DR. FRASER: Well, it's always been kind
5 of confusing to me, particularly at Social Security
6 hearings, because the SVP is -- was used as
7 something great. It was confusing, because SGA
8 wasn't factored in.

9 MS. KARMAN: Yes.

10 DR. FRASER: Say for accounting, you know,
11 I mean, if you have a degree in accounting, you
12 know, a degree in accounting pretty much relates to
13 being able to proficiently work as an accountant;
14 maybe not. Maybe you need six months. Maybe it's
15 education plus six months or something like that, or
16 a year to full proficiency. That has never been
17 really kind of taken into account.

18 MS. KARMAN: Right. This is Sylvia again,
19 yes.

20 One of things we have encountered is when
21 you go back and you look at what was originally
22 defined in the RHAJ, or the Revised Handbook for

1 Analyzing Jobs, the GED or general education
2 development element captured more about education;
3 and the SVP was a separate element. Where today
4 we're trying to get at, you know, what are you --
5 what does the person need to be able to do to become
6 proficient on the job? And that may be in addition
7 to whatever educational requirements they may have.

8 Because you are right, I think it is used,
9 you know, in a blanket way to cover a number of
10 different things; and we're trying to deconstruct it
11 so that we can be more accurate about what it is
12 we're actually measuring.

13 DR. FRASER: It has presented kind of a
14 nightmare for a lot of VEs.

15 MS. KARMAN: Right. Yes.

16 DR. BARROS-BAILEY: Are there any other
17 comments, or recommendations, or thoughts?

18 Tom, I had one. This morning when we spoke
19 and we looked at the list that you had in the back,
20 the question was, are any of those recommendations
21 that you have there for further study something that
22 is specific to this set of recommendations at this

1 point in time in terms of the content model or
2 classification? And so we had talked about are there
3 general concerns or implications at some point down
4 the line.

5 I know one of them was -- and I don't have
6 that list in front of me -- but it was the whole
7 concept that we talked about earlier in the
8 teleconference about the number of jobs, and how do
9 you account for that and the occupation, that kind of
10 thing.

11 So some seem to be just general thoughts or
12 implications or considerations; but I was wondering
13 off that list -- because we really do need to talk
14 about that and deliberate on that -- was there
15 anything that you suggested for specific studies
16 regarding the content model or the classification for
17 the set of recommendations where we are right now?

18 MR. HARDY: Yes. I went through and
19 reviewed them, and I eliminated some that appeared
20 to be overlap. And I tried to break them down to
21 four additional things I'm going to add in. I can
22 go over those right now if you wish.

1 DR. BARROS-BAILEY: That would be great.

2 MR. HARDY: Under point -- I believe point
3 number five, that SSA validates any work activity
4 that it collects, and then assess work activities
5 when combined with other items to become a skill.
6 Under that I put, one, SSA policy addresses degrees
7 of transferability which should be considered.

8 Consequently, what are the work activities
9 that when they combine with other requirements of an
10 occupation they rise to a level appropriate to be
11 called a skill that leads to a worker's capacity to
12 perform work activities of other occupations? That
13 is, what factors indicate that skills should be
14 transferable? Can transferability be predicted?
15 What is the error rate for that prediction?

16 And then number two under those, current
17 SSA policy states that work activities and
18 semi-skilled or skill levels provide the worker with
19 vocational advantages over workers with no work
20 history or with an unskilled level of work history.
21 What would be the work activities that would provide
22 the worker with vocational advantage? Could this be

1 quantified along with any skill within or between
2 occupations?

3 That would fit under general heading of
4 trying to take work activity and make it at the level
5 where we want to start calling it a skill. And these
6 are some areas where we can begin, because they are
7 part of SSA policy.

8 DR. BARROS-BAILEY: Okay. So it sounded
9 like you were reading from your draft report in the
10 back where that is kind of a list; and could you
11 identify -- because you went through those pretty
12 quickly -- what number that would be within that
13 list?

14 MR. HARDY: Yes; sure. If you go to page
15 23, for those of you who have the report in front of
16 you. Those first two, bullet point one and bullet
17 point two, are the two that I pulled over and put
18 under number five of our recommendations.

19 DR. BARROS-BAILEY: So the first one was
20 degree of transferability. The second one was the
21 issue of vocational advantage, is that correct?

22 MR. HARDY: That's correct. And the only

1 other two that I thought of bringing over into the
2 actual recommendations were for number six of the
3 recommendations, developing a method for determining
4 complexity level, both on the occupation and within
5 the occupation. As a starting point I pulled over a
6 suggested review of CIP, O*Net 11 Point Educational
7 Scales, current tools and training scales, a scan
8 scale, and other measures to start to conform a
9 complexity system.

10 And "B," additional research regarding
11 potential complexity components in relation to
12 transferability issues. Specifically possible
13 weighting of measures to result in overall ranking
14 for the occupation. And those will be found on page
15 23 as number -- numbers 4 and 5. The others were
16 either eliminated or truncated.

17 DR. BARROS-BAILEY: Tom, when you say
18 "tools and training," do you mean tools and
19 technologies from the O*Net?

20 MR. HARDY: Which one are you referring
21 to?

22 DR. BARROS-BAILEY: The first one that you

1 mentioned under six, which is number four from page
2 23.

3 MR. HARDY: Okay. I got it. What was
4 your question, Mary? I'm sorry.

5 DR. BARROS-BAILEY: I think you said
6 "tools and training," but I wondered if you meant
7 "tools and technology" from the O*Net.

8 MR. HARDY: That could be. I'm sorry. It
9 imprecise here. The tools and training of O*Net,
10 that wouldn't fit.

11 DR. BARROS-BAILEY: So "tools and
12 technology."

13 MR. HARDY: Yes.

14 DR. BARROS-BAILEY: Okay. So maybe the
15 best way to address this, because these are four new
16 elements that are from another part of your report
17 that are being brought up into the recommendations,
18 is can we go to number five and the two that you
19 have under there and see if we can get some
20 discussion and deliberation about those two --
21 either of those.

22 MR. HARDY: I will repeat again for those

1 who don't have it in front of them. "A," and,
2 again, this comes under skills -- SSA addresses
3 degrees of transferability in their policy.
4 Therefore, there is a recommendation that we need to
5 start doing research into how we take the work
6 activity and combine it with other requirements of
7 an occupation, so that we can find out when the work
8 activity rises to a level appropriate to be called a
9 skill.

10 And then under that, "B," SSA policy
11 addresses work as semi-skilled or skilled levels to
12 provide vocational advantage. How would we, again,
13 address work activities that provide the worker with
14 vocational advantage? These are two subsets of the
15 whole skill conversation.

16 Are there any questions on either of these
17 two?

18 DR. BARROS-BAILEY: I have a question. I
19 think earlier you had mentioned that work activity
20 was going to be used as a proxy of skill. So when
21 you are talking about the first recommendation under
22 five, you are not saying rise to the level of being

1 called a skill, but some sort of skill complexity;
2 is that what I'm understanding you to say?

3 MR. HARDY: The way we looked at it was
4 that we're looking right now as work activities as a
5 proxy for skill or data collection. The easiest way
6 to start gathering information to inform the OIS.
7 Now, I know that there has been much discussion as
8 to whether all work activities actually rise to the
9 level of a skill, and this kind of goes to that.
10 Can we say that work activity "A," is, in fact, a
11 skill when it's combined with other things, or maybe
12 we need to rate it under a continuum where it's a
13 work activity, but it's not necessarily a skill, but
14 we are looking at transferability of skills. So
15 it's a work activity for proxy for skill for data
16 collection, but in application under skills, it may
17 not necessarily be so, or it may be so; but I think
18 we need to be sure about that.

19 MS. KARMAN: Hi, this is Sylvia.

20 Tom, I just want to be sure I'm
21 understanding. So when you're referring to skill --
22 also our listeners and other Panel members can,

1 perhaps, track on this a bit if -- by skill you mean
2 whatever aspect of that work activity that hasn't
3 risen to a level of something that would provide an
4 individual vocational advantage.

5 MR. HARDY: Um-hum.

6 MS. KARMAN: So it has a complexity level?

7 MR. HARDY: Um-hum.

8 MS. KARMAN: Or some sort of -- involve
9 some kind of technology or tools or something
10 that --

11 MR. HARDY: It's something more than, yes.
12 I'm going to use a bad example, but walking. You
13 know, there has been discussion that walking is a
14 skill. It's a learned activity. Well, for data
15 collection purposes maybe yes; for transferability
16 of skills under the Act, I'm not sure. Walking when
17 combined with other things may rise to a level of
18 skill, perhaps.

19 DR. WILSON: This is Mark Wilson. I think
20 the issue goes back to something we have talked
21 about a few times, and that's the kind of higher
22 level of construct that might be derived from work

1 descriptors. I think that's what Tom is talking
2 about; but in terms of what -- almost all of the
3 items in the instrument are going to load on at
4 least one and potentially more factors.

5 So the idea that there would be some subset
6 of items that get elevated to some new level -- and
7 that's the point at which TSA becomes available --
8 could get kind of complex. I mean, my view would be
9 to the extent that a job profile is similar to
10 another job profile, you know, with all the various
11 physical and cognitive side things that we have
12 talked about earlier, you know, then that would lead
13 to an indication that work was similar enough to be
14 transferable.

15 Now, in terms of -- I don't know if we're
16 talking about a policy decision here or how similar
17 things have to be that Tom was talking about; but if
18 the issue is linkage between person side and job side
19 activities, how that does is sort of validation study
20 of a linkage between cognitive and physical
21 interpersonal characteristics of people and work
22 demands. I very much am in favor of this.

1 This is something that industrial
2 psychologists have talked about for a long time.
3 More systematic valid linkages between these two
4 areas, studies to examine these two things that are
5 very important; but I don't think what Tom is saying
6 is that he is interested in particular factor
7 structures or things of that sort or saying, you
8 know, that you can only use factor "X" as opposed to
9 factor "Y." I think if I understand it he is just
10 simply saying that, you know, this should be based on
11 some scientific measure of job similarity.

12 MR. HARDY: Yes, and that's part of it. I
13 think you have got it. Yeah.

14 MS. KARMAN: So this is Sylvia again.

15 So what we're talking about here is really
16 the recommendations that I think we have been hearing
17 from a number of folks today that we go ahead and
18 develop the instruments, test them, get the data
19 back, and then look at where is the linkage between
20 work and person side -- am I hearing that correctly?
21 As opposed to then also Social Security might be
22 informed by that and also some of our applied

1 research with regard to policy development. Am I
2 characterizing that the way I'm hearing from Tom and
3 from Mark. Hello?

4 MR. HARDY: I'm pondering your statement,
5 Sylvia.

6 DR. WILSON: I don't think it's
7 necessary -- there could be studies of what various
8 types of work that are, you know, commonly
9 identified jobs where someone could do person-side
10 studies of the existence of various attributes of
11 prior work analysis.

12 So I'm not as worried about sequencing.
13 The idea is to get good, solid, defensible
14 occupational analysis information, and then as people
15 do, you know, person-side studies of various kinds of
16 work. If we have enough of those that we can, you
17 know, in a policy capturing sense try and figure out
18 if there is a relationship between that and what the
19 occupational data tells us.

20 DR. BARROS-BAILEY: Okay.

21 DR. WILSON: I definitely think that
22 the -- one of the key events for any of that to take

1 place, what Tom is talking about, is to get a common
2 metric OIS in place, at least in a policy form, that
3 we can then play around with and address the kind of
4 issues, you know, that Tom and others have raised
5 about what rises to the level of being transferable
6 and what doesn't and things of that sort.

7 MR. HARDY: Because I do believe in the
8 final analysis there is going to be a difference. I
9 think for us to be defensible and also stay within
10 the confines of SSA's requirement, we have to be
11 sure that what we are saying is a transferable skill
12 is, in fact, that. And not a generalized skill, or
13 a generalized work activity per se, but it's a
14 skill.

15 That's something that as we gather
16 information for work activity we can analyze and
17 maybe at that point when we start applying a
18 complexity level to it break those down and identify
19 what is a transferable skill versus something that's
20 present at all jobs. That may not be a skill per se.

21 MS. KARMAN: Hi, this is Sylvia again.

22 I think I just want to interject this one

1 thing about -- transferable skills analysis is a work
2 side assessment of the work activities regardless. I
3 don't want to get it into whether things rise to the
4 level of skill. That sort of thing I'm not debating
5 that. That is something that needs to be looked at
6 from Social Security's point of view.

7 But I was hearing Mark early on talk about
8 making the linkage between the work side and the
9 person side. I just wanted to be clear about the
10 fact that the TSA aspects are work side. So that's
11 one thing. There was another point I was going to
12 make, but it flooded my head, sorry.

13 DR. BARROS-BAILEY: Because we're running
14 out of time, very quickly I want to see if under
15 recommendation number five, the two areas that have
16 been discussed in terms of areas we intend to study,
17 if there are any additional questions,
18 recommendations, comments? Or if that gives you
19 some ideas, some guidance, Tom, in terms of the
20 discussion, there may be ways to articulate that.
21 Where there might be some understanding more of what
22 is meant by that recommendation -- or at least the

1 first one.

2 MR. HARDY: I will take it under
3 advisement.

4 DR. BARROS-BAILEY: Anything else in terms
5 of second recommendation, the vocational advantage,
6 or was that pretty much covered, does everybody
7 feel? Okay.

8 So then on to number six, you had two
9 areas, again, of study there from page 23, being
10 number four and number five in terms of complexity
11 level, in terms of weighing of measures. Mark might
12 have covered some of that in terms of his discussion
13 in the earlier part, but I just wanted to see if
14 there is any thoughts, or discussion, or comments
15 within those two recommendations?

16 Okay. Silence tells me no.

17 So overall -- I will open it up to the
18 overall recommendations in terms of transferability
19 of skill, if there are any thoughts or comments?

20 Okay. Thank you, Tom.

21 MR. HARDY: Thank you.

22 DR. BARROS-BAILEY: We're moving on to the

1 fifth subcommittee, the Mental Cognitive
2 Subcommittee. Dr. David Schretlen, if you will
3 start off by indicating who was on your
4 subcommittee, and then go into your recommendations.

5 DR. SCHRETLEN: Okay. Thank you. The
6 other subcommittee members are Bob Fraser and Sylvia
7 Karman; and we basically have five recommendations.

8 The first is that the underlying conceptual
9 model of psychological abilities that are required to
10 do work as reflected by the current MRFC assessment
11 should be revised. And we are recommending that the
12 revision should aim to address shortcomings in the
13 current conceptual model; be based on scientific
14 evidence wherever possible; lead logically to the
15 elements that can be reliably assessed and
16 empirically tested for their predictive validity; and
17 finally, that the revision retain elements of the
18 current MRFC assessment that are consistent with
19 scientific evidence and reliably measurable, and
20 valid predictors of the ability to work. In other
21 words, where possible to retain the existing system
22 that is working for the sake of continuity.

1 So that's the first recommendation. Two
2 sort of subcomponents under that recommendation are
3 that the revised MRFC assessment should specifically
4 redress the following shortcomings; the
5 underrepresentation of neurocognitive abilities.
6 Lots of people gave us input. There is a lot of
7 evidence that neurocognitive abilities are important
8 predictors of work outcomes and lots of diseases.
9 Secondly, the reliance on course and underspecified
10 categories to rate residual abilities; thirdly, the
11 failure to account for longitudinal fluctuations in
12 mental ability; fourth, the inclusion of elements
13 that combine desperate abilities. In other words, a
14 number of the current MRFC items have multiple
15 components, and a person might have an impairment on
16 one, but not the other. So it gets confusing.

17 The fifth, the failure to recognize
18 differences in the predictive power in various
19 abilities. Some are -- they're all sort of weighted
20 equally, and they ought not be; and then finally, the
21 large inferential leaps that are required in order to
22 match residual abilities with job demands. So our

1 recommendation is that the revised system attempt to
2 redress these shortcomings.

3 Relatedly, our subcommittee recommends that
4 SSA include aspects of neurocognitive functioning in
5 a revised conceptual model. And this recommendation
6 came from many sources. In particular, there is a
7 widely perceived failure of the current assessment to
8 account for impairments of specific cognitive
9 abilities. Whether these result from traumatic brain
10 injuries, other disorders, developmental disorders,
11 and even psychiatric conditions. For instance,
12 schizophrenia is well known to be characterized by
13 cognitive impairments. Yet, these are not well
14 represented in the current MRFC assessment.

15 And so then the subcommittee discusses
16 under this recommendations a variety of models.
17 We're not advocating necessarily -- you know, we're
18 sort of acumenical in our approach. We make
19 recommendations, but acknowledge that there may be
20 other ways of going about this.

21 We note, for example, that the most
22 parsimonious approach would be to assess general

1 cognitive ability. But we know note that there are
2 problems with that. And the -- if you were to assess
3 general cognitive ability, it's important to
4 recognize that other empirical research might know
5 that other aspects of cognitive functioning predict
6 the ability to work better than a single measure of
7 "g," for instance.

8 We ultimately recommend a six-factor model;
9 but we recognize that an alternate model with fewer
10 or different factors might provide a more efficient
11 assessment with little lost of predictability. So in
12 any case, we make provisional recommendations and
13 acknowledge that SSA may -- that further research
14 might lead to substantial modifications of these. So
15 that in a nutshell is the first and major
16 recommendation. Any comments about that?

17 Okay. Secondly, we recommend that the SSA
18 reorganize the elements of the existing MRFC
19 conceptual model up to four categories. This is sort
20 of a modification. Rather than throwing out the
21 current system, we're suggesting -- we're
22 recommending that SSA sort of revise it, or modify

1 it; and we suggest that it be -- the revised model be
2 predicated on -- be based on four sort of categories
3 or dimensions of functioning. The first is a
4 neurocognitive functioning; the second is initiative
5 and persistence; the third is inter-personal
6 functioning; and the fourth is self-management.

7 And then flowing from that is the third
8 recommendation, which is that SSA adopt a -- an -- a
9 set of 15 more specific abilities that we have
10 outlined under each of these major headings. And
11 again, recognizing that -- we explicitly note in here
12 that the subcommittee recognizes that Social Security
13 might choose to discard or replace some of these 15
14 abilities, or add others that are not listed.

15 In other words we're suggesting these. We
16 describe each of the 15. We explain how we arrive at
17 each of those. I'm not going to go through that now.
18 And we also note in the report others that were
19 suggested that we didn't include on the list, but we
20 wanted to bring to the attention of the Panel as a
21 whole, and Social Security in general, because
22 they're important. Some people advocated for their

1 inclusion in the list. We discuss why they didn't,
2 but Social Security may well want to change that.

3 Any comments about recommendations two and
4 three?

5 Okay. Number four is that we recommend
6 that the Panel provide ongoing consultation to the
7 OIS's project psychometrician as the Social Security
8 develops new items for data collection.

9 And then, also, we recommend that Social
10 Security Administration consider the possibility that
11 MRFC abilities be assessed using different methods.
12 That is, there may be more than one method to assess
13 these things. Some might be better assessed using
14 rating scales, like Likert scales. Others might be
15 better assessed by performance based measures, like
16 computer-assisted testing.

17 And just that the Social Security not be --
18 we recommend that the Social Security Administration
19 not be wedded to one particular approach while not be
20 considering others.

21 Finally, the fifth recommendation is
22 that -- that we conduct -- that we recommend a series

1 of studies to examine the reliability and predictive
2 validity of any instruments that are developed to
3 assess residual functional capacities and
4 occupational demands as part of the overall project.
5 And then we discuss suggestions for that research in
6 more detail.

7 But essentially, it is to do what has
8 already been suggested by others, and that is to
9 begin with a relative small set of homogenous
10 occupations that are really widely represented
11 throughout the economy, and then to essentially
12 examine incumbents who are in those positions to
13 using all of the instruments that are developed
14 through this project, both the new instrument and
15 existing instruments to compare them in terms of
16 their ability to distinguish between people who are
17 essentially working in those jobs, and people who
18 have been adjudicated unable to work.

19 So those are the major recommendations.

20 Any other -- any comments?

21 MS. SHOR: This is Nancy Shor. I do have
22 a couple of questions.

1 Looking at the very last page of the
2 recommendations, which is the description of the
3 study; I had two concerns here. One is that it is
4 completely inappropriate for this Panel to be
5 involved with any reference to the physical health or
6 state of the disability trust fund as being a
7 mechanism that opens the process to allow more
8 disability claims or deny more disability claims.

9 DR. SCHRETLEN: What are you responding
10 to?

11 MS. SHOR: I'm at the end of the --

12 DR. SCHRETLEN: Oh, I see. Where I'm
13 talking about the implications.

14 MS. SHOR: Right. So that's -- this is
15 totally inappropriate for this Panel to be going
16 there.

17 DR. SCHRETLEN: Um-hum.

18 MS. SHOR: My second comment there is,
19 with the specific investigation that you're
20 suggesting here about interviewing job incumbents
21 that have migraine headaches. Setting aside issues
22 about whether people accurately know whether or not

1 they suffer from migraine headaches, but my main
2 point here is that the statute requires individual
3 assessment of disability claimants. So that if a
4 person documents to the satisfaction of an SSA
5 adjudicator that they suffer from migraine
6 headaches -- to use your examples -- to the point
7 that they are incapacitated; then, it doesn't matter
8 whether other people out there report that they
9 suffer from migraine headaches, and yet, we see that
10 they're working.

11 The statute requires an individual
12 assessment not of the universe of people with
13 migraine headaches, but the statute requires an
14 individual assessment of this particular claimant.

15 So I am troubled by the notion that
16 establishing that a certain percentage of people with
17 migraine headaches are able to work has relevance to
18 the adjudication of Mr. Jones's disability claim.

19 DR. SCHRETLEN: Well, perhaps, migraine
20 headaches wasn't the best choice of an example. But
21 the principle that I'm trying to get at, Nancy, is
22 that one way of looking at job demands is by looking

1 at people who do those jobs. In other words, let's
2 suppose you're looking at how heavy -- how much
3 lifting is required by a job or what is the -- what
4 is the minimum ability a person needs to be able to
5 lift in order to do a job?

6 One way is -- one way of assessing that is
7 to follow people around who are doing the job, and
8 tracking how much they lift between, you know, 9:00
9 and 5:00 or whatever. But another possibility is --
10 another approach is to look at incumbents on those
11 jobs and find out how much they can lift. And if --
12 if the job analysis suggest that you need to be able
13 to lift 50 pounds to do this job, but in fact, you
14 find that two-thirds of people who do the job are not
15 able to lift 50 pounds above their waist, that seems
16 to me that that provides very important information
17 about the job demands.

18 MS. SHOR: I think when we moved to the
19 mental cognitive arena, those points become much
20 mirkier. I can't imagine a job description that's
21 going to say, a person really doesn't need to pay
22 attention very much. A person really doesn't need

1 to be persistent. It's okay if a person has a
2 migraine headache once a day. They can still do
3 this job. I understand what you are saying on the
4 physical side. I understand the -- what seems would
5 fit the physical. It makes sense to see if it will
6 fit the mental cognitive side. I'm very concerned
7 that, in fact, it may not.

8 DR. SCHRETLEN: Are you willing to let
9 empirical data answer that question?

10 MS. SHOR: As long as we maintain the rock
11 bottom principle that claims are going to be
12 adjudicated individually, then, yes, for collecting
13 that data, certainly. But it is troubling to me if
14 there is a sense that this is moving in the
15 direction of the average person that can do blah,
16 blah, blah. We're talking what the law requires.
17 We're talking about individual claimants.

18 DR. SCHRETLEN: And I guess I think that
19 it's important to assess the individual claimant
20 against people who are able to do work. I mean, if
21 the individual claimant's abilities fall below the
22 threshold required of people to do a job; then, I

1 totally agree with you, that they should be
2 adjudicated disabled and they should be evaluated as
3 an individual. But I guess what I'm thinking is
4 that we're not suggesting -- I don't think the
5 subcommittee is suggesting moving away from
6 consideration of applicants on an individual basis,
7 but rather evaluating an individual applicant's
8 abilities against those required to do various jobs.

9 MS. SHOR: And would part of that
10 evaluation be administration of the "g" test or
11 other tests? Would that be part of your
12 recommendation?

13 DR. SCHRETLEN: It certainly could be. I
14 think that what we're recommending is that whatever
15 instrument Social Security ultimately develops to
16 assess person-side variables, that those instruments
17 be used in a study that includes people who are
18 successfully doing jobs; and those instruments, you
19 know, could be mental as well as physical capacity.

20 MS. KARMAN: This is Sylvia. I have a
21 question.

22 I'm wondering if I'm understanding that,

1 perhaps, the distinction here is between a study that
2 has to do with -- or testing or getting information
3 about the demands of work vis a vie what incumbents
4 are able to do on the job or are doing on the job,
5 sort of like job component validity study, versus the
6 adjudication of a claim and looking at somebody's
7 residual functional capacity.

8 MS. SHOR: Right.

9 DR. SCHRETLEN: The former.

10 MS. KARMAN: Okay.

11 DR. SCHRETLEN: Now, it might have
12 implications for the latter.

13 MS. KARMAN: Correct. Thank you.

14 DR. BARROS-BAILEY: Okay. Are there any
15 other comments, concerns, questions to the Cognitive
16 Demand Subcommittee?

17 MR. HARDY: This is Tom Hardy. I guess I
18 just wanted to echo that I have the same concerns I
19 think I heard Nancy voice; and the recommendations
20 are pretty long. This is a pretty dense document
21 here. And I'm just wondering -- I guess two things.
22 "A," I want to say I have the same concerns that

1 Nancy does. And I'm a little concerned about some
2 of the language I see in here right now, especially
3 as an attorney who represents claimants. It does
4 give me some pause.

5 Are these recommendations going to be
6 distilled down any further, or is this what we're
7 looking at as the final recommendation?

8 DR. SCHRETLEN: This was going to be the
9 final document.

10 MR. HARDY: Okay.

11 DR. SCHRETLEN: Can you identify
12 particular things, Tom, that you are also concerned
13 about?

14 MR. HARDY: Well, I have to echo Nancy's
15 comment on the phrase "alternately, the SSA could
16 lower or raise the cut off, and thereby allow fewer
17 or more claims, depending upon national priorities
18 and the level of funding available to support
19 beneficiaries." I find that to be a very, very
20 troubling statement coming out of our Panel.

21 DR. SCHRETLEN: It just overreaches, you
22 are saying?

1 MR. HARDY: It takes us -- I know we're
2 always distancing around trying not to get to
3 policy, but I think that takes us beyond policy. It
4 takes us into a very, very dangerous place that I'm
5 very uncomfortable with.

6 DR. SCHRETLEN: Okay. I guess I'm
7 certainly happy to talk this over with Bob and
8 Sylvia, and you know, back off from some of these if
9 that's a consensus. I think that -- in my mind the
10 major point was to suggest doing the study. I think
11 the study has important implications, but we
12 certainly don't have to implicate all these
13 implications.

14 DR. FRASER: This is Bob. I agree, David.
15 I think we tried to stay out of that domain in terms
16 of policy.

17 MS. KARMAN: I was just going -- this is
18 Sylvia. I was just going to concur with that.

19 I think when the subcommittee -- our
20 subcommittee was discussing these things, our main
21 focus was on just making sure we can actually do the
22 study.

1 DR. FRASER: Yes.

2 DR. BARROS-BAILEY: We're at the
3 3:00 o'clock hour, so I'm going to ask that we kind
4 of move beyond the subcommittee reports at this
5 point. Thank you to the Cognitive Demands
6 Subcommittee. Thank you to all the subcommittees
7 for all your hard work.

8 Just one question before we go into issues
9 and questions. Were there any -- was there anything
10 that has not been covered in terms of the
11 subcommittee report to the OIDAP that we need to
12 cover?

13 Okay. It seems like the Panel
14 deliberations have brought up for the subcommittees
15 some issues to consider, and to put this kind of in
16 the context of what will be happening between now and
17 LA and also talk about what's happening in LA. I
18 know that as we have been talking we have been
19 referring to materials we're looking at that are in
20 draft form. We've talked about Table 2, for example,
21 and the Work Taxonomy and Classification
22 Subcommittee. These are draft reports from the

1 subcommittees to the Panel to get us to this point
2 where we can deliberate as a Panel for -- with each
3 other in terms of the recommendations.

4 At this level we would like the
5 subcommittee chairs -- we ask the subcommittee chairs
6 to consider all of the comments that have been made,
7 all of the suggestions, that type of thing; and to
8 provide us with final reports by the close of
9 business tomorrow.

10 What will be happening during this week is
11 that the overall report that will include the
12 subcommittee reports as appendices will be written.
13 It is the draft report of the report to the
14 Commissioner in terms of the content model and
15 classification recommendations that we hope to have
16 out to the Panel by the 9th of September, so that we
17 can then at -- in LA in September go through each of
18 the recommendations again, the final recommendations;
19 and actually go to a vote on these.

20 The vote will be held by secret ballot. We
21 will go through these one by one, and take a vote on
22 each of these. You will be provided with more

1 information as we get closer in terms of the
2 procedure, the process for voting.

3 MR. HARDY: Mary, can I ask a quick
4 question? This is Tom Hardy.

5 DR. BARROS-BAILEY: Sure.

6 MR. HARDY: When you say go each by each,
7 are we going to do individual recommendations or
8 individual subpanel, or subcommittee
9 recommendations?

10 DR. BARROS-BAILEY: Because of the ability
11 for us to be able to -- as you see before you, the
12 Panel, you see that the structure of the
13 recommendations is different. So we have to add
14 some level of consistency in terms of numbering and
15 that type of thing; and so there is a way in terms
16 of starting the voting process and the motions that
17 we can take them section by section, or
18 recommendation by recommendation. So you will get
19 some information between now and LA that will better
20 define how we are going to go through this process.

21 Does that answer it?

22 MR. HARDY: Okay. Thank you.

1 DR. BARROS-BAILEY: Okay. Let me think.
2 I want to really thank you. This last month has
3 been incredible. This whole last six months has
4 been incredible, but particularly the last month.
5 You have all worked incredibly hard to get us to the
6 point where we are. Just a reminder, as has been
7 said on the call for the last three hours, that
8 really these are our preliminary recommendations as
9 we proceed, you know, to hopefully the next level
10 and start refining some of these things.

11 This is not the finish line. This is the
12 starting point, and so very exciting. If you need
13 help as the executive subcommittee in terms of the
14 Chairs, I would encourage you to get ahold of your
15 leads if you need to work with them over the next day
16 or so in terms of finishing your recommendations and
17 your reports due tomorrow.

18 I'm going to ask if there are any final
19 questions, thoughts, concerns before we move to
20 adjourn?

21 DR. GIBSON: Mary, this is Shanan Gibson.
22 I want to once more try to follow-up on

1 what I believe Tom asked. Just correct me if I'm
2 wrong, or give me a little more direction, please.

3 So at this point each subcommittee will go
4 back, consider what was recommended from the full
5 Panel, and then submit to you our final
6 recommendations as a subcommittee. From there those
7 recommendations will be compiled into a, if you will,
8 final format, which we will then vote upon. Is that
9 correct so far?

10 DR. BARROS-BAILEY: That is correct, and
11 all the subcommittee reports, as of tomorrow, will
12 be appendices to the overall report that will
13 include the recommendations.

14 DR. GIBSON: Once they are compiled into
15 their voting standpoint, will we be voting on them
16 as written, or can we, as in other committees, for
17 example, put forth a motion to revise the wording
18 prior to voting as a result of deliberation, or will
19 there not be time for that?

20 DR. BARROS-BAILEY: What usually happens
21 somebody starts with a motion to accept or --
22 whatever wording is to be part of the motion. So

1 that wording could be accept, or reject, or
2 whatever. As the discussion occurs, there could be
3 amendments to the motion.

4 DR. GIBSON: But we will absolutely have
5 the opportunity to deliberate the wording as it,
6 perhaps, is revised prior to actually voting. It is
7 not just here it is, vote on it as it is?

8 DR. BARROS-BAILEY: No. That is correct.
9 There is room for modification there.

10 DR. GIBSON: Thank you.

11 DR. BARROS-BAILEY: Okay. Any other
12 questions? Thoughts?

13 It is 3:07 eastern time. I want to thank
14 you all for staying way over, and for all your hard
15 work. I look forward to reviewing everybody's report
16 in the next 24 hours and to seeing a lot of you in a
17 couple of weeks in LA. Thank you.

18 DR. GIBSON: Thank you very much.

19 DR. BARROS-BAILEY: Oh, wait a minute.

20 MR. HARDY: Mary, I make a motion to
21 adjourn.

22 DR. BARROS-BAILEY: Thank you.

1 Do I have a second?

2 MS. KARMAN: I second.

3 DR. BARROS-BAILEY: Okay.

4 MR. HARDY: That was Tom Hardy.

5 (Whereupon, at 3:07 p.m., the proceedings
6 were adjourned.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 CERTIFICATE OF REPORTER

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

I, Stella R. Christian, A Certified

Shorthand Reporter, do hereby certify that I was
authorized to and did report in stenotype notes the
foregoing proceedings, and that thereafter my
stenotype notes were reduced to typewriting under
my supervision.

I further certify that the transcript of
proceedings contains a true and correct transcript
of my stenotype notes taken therein to the best of
my ability and knowledge.

SIGNED this 4th day of September, 2009.

STELLA R. CHRISTIAN