

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

SOCIAL SECURITY ADMINISTRATION

OCCUPATIONAL INFORMATION DEVELOPMENT

ADVISORY PANEL QUARTERLY MEETING

JUNE 9, 2010

MEMPHIS, TENNESSEE

REPORTED BY: STEPHEN P. ANDERSON
Free State Reporting, Inc.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A P P E A R A N C E S

PANEL MEMBERS PRESENT:

MARY BARROS BAILEY, Chair, Ph.D.

ROBERT T. FRASER, Ph.D.

SHANAN GWALTNEY GIBSON, Ph.D.

THOMAS A. HARDY, J.D.

H. ALLAN HUNT, Ph. D.

SYLVIA E. KARMAN

DEBORAH E. LECHNER

DAVID J. SCHRETLEN, Ph. D.

MARK A. WILSON, Ph. D.

GUNNAR ANDERSON

ABIGAIL PANTER

I N D E X

Page

Call to Order	3
Overview of Today's Agenda	5
Project Director's Report	5
Roadmap Review	17
Break	
User Needs and Relations Subcommittee Report	26
Research Subcommittee Report	34
Ad Hoc Committee Report	36
Public Comment	--
Adjourn	82

P R O C E E D I N G S

(1:00 p.m.)

MS. TIDWELL-PETERS: Good evening, everyone.

I am Debra Tidwell-Peters, the Designated Federal Officer for the Occupational Information Development Advisory Panel. We welcome you to the June Quarterly Meeting.

I would like to turn the meeting over to the Panel Chair, Dr. Mary Barros-Bailey. Mary.

CHAIR BARROS-BAILEY: Thank you, Debra. Welcome, everybody. Good afternoon. I'd like to thank you for your attendance live or telephonically to the Third Quarterly Meeting for the OIDAP.

Before we begin today's agenda, I'd like to announce some changes to the Panel. Nancy Shor has resigned from the Panel effective June 1st, 2010. Nancy was the Chair of the User Needs and Relations Subcommittee. As a matter of fact, this afternoon I will be reading her report. We'll wish Nancy well.

Before we go the activities for today, I'd like to announce to those listening in remotely that to follow along you can go to our website, www.socialsecurity.gov/oidap, for a copy of the agenda. And for those who are attending our meeting for the first time who might be interested in activities and deliberations of the Panel from past meetings, at the

1 same website, again it's www.socialsecurity.gov/oidap,

2 You can click on the meeting information web
3 page and download past agendas and the PowerPoints
4 associated with those meetings. You can also look at
5 Panel documents on that web page for technical and
6 working papers, formal correspondence, and our first
7 report by this Panel delivered to the Social Security
8 Commissioner in September 2009.

9 As we indicate at the beginning of each
10 meeting, the charter of the Occupational Information
11 Development Advisory Panel, or OIDAP, is to provide the
12 Social Security Administration with independent advice
13 and recommendations for the development of an
14 occupational information system to replace the Dictionary
15 of Occupational Titles and Disability Adjudication. Our
16 task is not to develop the OIS itself. As our name
17 implies, it is to provide advisory recommendations.

18 At our home page you will note our call for
19 public feedback and comments upon the report that was
20 delivered to the Commissioner in September. Although we
21 welcome input from stakeholders in the public at any time
22 throughout our process, to help streamline that input to
23 the September report, we're strongly encouraging feedback
24 from all sources by June 30th, 2010. To facilitate the
25 feedback, we are using the regulations.gov system as

1 announced through the Federal Register on May 4th.

2 Now looking at today's agenda, we will start by
3 Sylvia Karman providing the Project Director's report. I
4 will be giving a brief overview or update the Roadmap,
5 the Panel Roadmap. After break I will be reading the
6 report that Nancy Shor provided as Chair of the User
7 Needs and Relations Subcommittee. Then Mark Wilson will
8 provide the Research Subcommittee report. Deb Lechner
9 will provide the Ad Hoc Committee report. And we will
10 adjourn for this afternoon.

11 Beginning at this meeting we did not have
12 anybody signed up for public comments as part of the
13 agenda. And assuming that there is nobody signed up for
14 that, we anticipate adjourning at 4:30.

15 So I will go ahead and pass it on to Sylvia.

16 MEMBER KARMAN: Good afternoon, everyone.
17 Sorry about the delay. I'm going to just real quickly go
18 through where our staff is with the number of activities
19 that we have underway. And I think the Research
20 Subcommittee kind of hears about this almost on a bi-
21 weekly basis. So some of this material may kind of seem
22 familiar to a number of you.

23 And this is really just going over some project
24 activities that have been underway since the last time we
25 had a public meeting in March of 2010 in St. Louis. And

1 one of the activities that we have underway is our
2 Occupational Medical Vocational Study. Again the
3 objective there is to identify significant occupational,
4 functional, and vocational characteristics so to the
5 vocational profile of DI and SSI so Title 2 and Title 16
6 adult disability applicants whose claims have been
7 reviewed both at the initial level and at the appellate
8 level.

9 And we have had that study underway now for a
10 while. We are up to, it says, 1,079. That was as of the
11 day that this PowerPoint was assembled. We are now at
12 1,400 cases and so we're moving along quite well. And
13 we're also looking to -- okay, that's on the next slide.

14 We would like to begin the hearings level
15 portion of the review as soon as we can if possible by
16 inviting other reviewers within our agency to assist in
17 that so that we can finish the review before the end of
18 the summer. But we're working on it. We're moving
19 pretty quickly with our sister component, the Office of
20 Medical Vocational Expertise, is reviewing these claims
21 for us. And so we have that underway. Of
22 course one of the purposes of that we're hoping will be
23 to inform our data collection effort so that we can at
24 least have a sense of what occupations are most
25 frequently represented among our claimants. Its past

1 relevant work, where should we start in terms of data
2 collection, and also what kinds of profiles are we
3 dealing with depending on the outcome of the cases,
4 whether they're allowances or denials, what kinds of jobs
5 are we citing in the cases of denials where the facts of
6 the claims do not meet the rule directly. Are we citing
7 jobs in certain circumstances with certain kinds of
8 physical and mental limitations and what might that
9 suggest with regard the vocational profile? So that's
10 where we are with that.

11 We're also wrapping up our international
12 occupational information system investigation so that we
13 will be preparing a report over the next few weeks in the
14 summer. And I hope that we will have something to share
15 with the work group, with the SSA work group, as well as
16 the Panel by the end of the summer. So basically we've
17 conducted interviews with a number of officials who may
18 have been able to give us some information about how
19 occupational information is used in a variety of programs
20 in their countries and to what extent. If in fact it is
21 used with disability, how are they using it and how might
22 that inform us?

23 Also the study that we have been calling the
24 OIS Design Study I, largely because it was intended -- it
25 has been intended to get at some design issues for us.

1 And we numbered it as one, anticipating that there may be
2 more than one. And at this point we are -- the Research
3 Subcommittee did give us some excellent feedback on a
4 very early draft of the study design. And we're taking
5 that back and looking at how we can have this be a test
6 to look at the feasibility of conducting job analyses
7 using trained job analysts.

8 So for example, if we were to pick one or two
9 of the occupations that seem to rise to the top as most
10 frequently performed based on the Occupational Med Voc
11 study, are there also occupations that SSA Adjudicators
12 tend to cite as examples of work that the individual
13 could do at Step 5 as a result?

14 And in terms of the results from our study, if
15 we were to select one or more of those occupations, what
16 could we learn from just attempting to do job analysis of
17 those occupations using an instrument that we're looking
18 to develop which I will talk about in a little bit? And
19 that also gives us an opportunity to perhaps test that
20 instrument and a number of other things. So that's
21 really where we're headed with that and revisiting a
22 design for that and how we might be able to
23 operationalize it.

24 And I guess I've talked through that screen.
25 We are looking to conduct this study in the next fiscal

1 year, so you're going to be seeing a revised study design
2 shortly.

3 With regard to the content model, our staff and
4 the agency's work group has been doing a lot of work on
5 just getting through the physical elements and now also
6 the mental cognitive elements that our work group
7 believes are most critical to disability evaluation. And
8 it's done on the heels of a synthesis that our staff did
9 of the Panel's recommendations as well as whatever -- a
10 fair amount of input that we've received from the users,
11 our users, as well as stakeholders from outside the
12 agency. So we have been doing a lot of that work.

13 Our work group has been meeting actively with
14 their team. And we have also begun the work of how can
15 we be testing those data elements. And so we've posted a
16 request for proposal. Actually it was a request for
17 information. Since many people may have already seen
18 this, we have sent a request for proposal to our Office
19 of Acquisition and Grants. We're hoping that that will
20 be coming out shortly this summer.

21 And that really is where we are, using a
22 prototype instrument basically as a means or a vehicle by
23 which we could get some of our Adjudicators and medical
24 staff throughout the regions, social security regions, to
25 conduct a few case reviews. So we may give them some

1 cases where they're using what we now currently identify
2 in our RC and LRC. Use the same type of case facts, same
3 kind of case. And now present them with the new or
4 altered data elements as the result of what the Panel's
5 recommended and what the work group has recommended.

6 And just ask them -- then in a focus group
7 setting ask them a series of questions to get a variety
8 of issues, not the least of which is how useful are these
9 elements. Do you in fact see medical evidence frequently
10 that could help you assess this? Are the scales that
11 we've selected, do these make sense for you? Are they
12 getting at the kinds of issues that you need to get at?
13 Are these measures useful? So that's kind of where we're
14 headed with that.

15 And it will also provide us with an opportunity
16 to then come back and then refine that. And any of that
17 information then also would be, I think, informing our
18 development of a work site -- well, not work site -- work
19 analysis instrument.

20 Our communications area has been very active.
21 We've really -- and a number of you, thank you very much
22 for participating in our effort to get our word out on
23 what our Panel is working on and what our staff is
24 working on. We've done a lot of conferences and
25 presentations. A number of not only our Panel members

1 but people on our staff as well as people on the work
2 group have volunteered to come out and present on what
3 our activities are and what we're about. So we've done a
4 lot of that.

5 We've also at the beginning of May recorded
6 some webinars which we're hoping to have out shortly.
7 And some of these webinars cover a variety of kind of
8 like frequently asked questions or frequently asked about
9 topics. I know, for example, Mark Wilson did a series of
10 definitions for us. And Shanan covered some of the
11 technical issues involved with our recommendations, for
12 example. So it's kind of to be a way to help people
13 access the information that was in our report. Maybe
14 just another way for people to get at that information.

15 We are also working as best we can with our
16 Chief Information Officer to develop web-based methods of
17 interacting with people. And we have a couple of things
18 that are still needing to get ironed out for the agency,
19 not for work necessarily. But apparently there are some
20 other things that the agency has to get ironed out before
21 we can make those things active.

22 So and, oh, the comments on our -- we did
23 publish -- on the Federal Register we published the
24 Panel's report, asking for any public comment. And so in
25 that Federal Register Notice, we have asked that people

1 users would provide their input by June 30th. So we've
2 extended our general request for comments to June 30th.
3 So that's out there. And we have been seeing a number of
4 comments. So people may want to check that out.

5 And as I understand it, the User Needs and
6 Relations Subcommittee will be preparing sort of a
7 summary of the comments that have been received since
8 January. Of course it would include any of the material
9 that's there. In addition, our staff will then take that
10 information that has been sort of recorded on the Federal
11 Register site and summarize these things and let the
12 public know what areas people were commenting on and what
13 the -- you know, how the agency -- how we are planning to
14 deal with those comments.

15 So some of the next steps we are developing the
16 overall plan for the project and development of the OIS.
17 And I think to some extent that's part and parcel with
18 bringing on board additional expertise or more specific
19 expertise in the area of work measurement and job
20 analysis.

21 So as that is happening over the next -- over
22 this summer hopefully, a lot of things are going to be
23 able to move along a lot more quickly. Our staff is
24 working in the direction of all of these things, but I
25 think to some extent we are also waiting for that

1 expertise.

2 And we are, as I mentioned earlier, developing
3 a prototype person site instrument over the summer. And
4 again that's just for the purposes of conducting its
5 focus group and claims review that are -- those who are
6 involved in the focus group testing and claims review
7 will have a means by which they can actually assess the
8 data elements and the attendant measures and scales.

9 And also we will be developing the work
10 analysis instrument. And we're hoping to do that
11 throughout the late -- later this fiscal year. So from
12 fall on into the winter hopefully finishing a prototype
13 of that by next January so that other work can begin.

14 And we're also developing a means by which we
15 can develop a business process for the recruitment,
16 training, and certification for job analysts. So that's
17 going to be a big, big process. And I know the Panel has
18 an Ad Hoc Subcommittee set aside to help us with that.

19 And so the last point on this screen I guess
20 that the area of expertise that we're looking to bring on
21 board perhaps through a couple of consultants, one in the
22 area of vocational rehabilitation and largely and
23 probably in an academic area, both for that voc-rehab and
24 industrial organization psychology, to be assisting us
25 with -- in one case VR side sort of helping us with

1 training staff on how do we write items that might be
2 very useful for the person side of the prototype at any
3 case.

4 And then a number of other issues that we have
5 that we want to take a look at on the person side be very
6 helpful for the VR person to be assisting us with. And
7 the IO psychology expert or someone in that related field
8 to be assisting us with the development of a work
9 analysis instrument. So that work is anticipated to
10 begin this summer. So we have a lot planned.

11 That's it. Does anybody have any questions?

12 MEMBER SCHRETLEN: Yeah, I do, Sylvia. And I'm
13 sorry, I know I've asked this before. But the job level
14 data that derive from the occupational and medical
15 vocational study, are they -- how specific are they? Are
16 they just titles or are they descriptions? I just
17 forget. I know we've been over this.

18 MEMBER KARMAN: The resulting data would be the
19 DOT title. So basically what we're having the reviewers
20 do is code the information that's in the file to the best
21 of their ability, associate that information with a DOT
22 title. Now we have protocol for circumstances in which
23 it's just simply impossible to do that. And so in that
24 case it's not accomplished that way. But that's
25 basically what we're going to end up with is DOT titles.

1 And so from that one would be able to find a description.
2 Now whether that's an accurate description at this point,
3 that remains to be seen.

4 MEMBER SCHRETLEN: Will more than one reviewer
5 assign jobs to DOT titles from a single file? In other
6 words, will we have any way of looking at the reliability
7 of assigning jobs to DOT titles so that's Part 1. And
8 Part 2 is just are we thinking that 75 percent of them
9 will be classified to a DOT title or 25 percent and 75
10 will go unclassified?

11 MEMBER KARMAN: Well, I think we're not going
12 to be including the ones -- the cases where we can't do
13 that are not included. So for ones that -- and I don't
14 know what the percentage of things are that have been a
15 problem. It's been low. But we have looked at
16 reliability raters that we've asked them to do piloting.
17 Before they would -- we have a reviewer begin in earnest
18 recording any data, they've had to go a protocol and
19 actually do a pilot so that we have a chance to take a
20 look at, well, are there responses within the range of
21 what is considered the norm.

22 Anything else?

23 MEMBER FRASER: Just one question. Sylvia,
24 anything of interest from the representatives from the
25 other countries that you've contacted?

1 MEMBER KARMAN: Not that I'm aware of yet.
2 Staff has just finished compiling all the -- actually
3 they've finished doing the interviews. Some of them were
4 very difficult because of language barriers that we had
5 to find somebody who spoke some language that we spoke.
6 And then the timing too because in some cases it's like
7 12 hours difference or more.

8 And in any case one of the things they're doing
9 now is they've just finished compiling or transcribing
10 the interviews that they've recorded. And they're going
11 to begin actually drafting an outline for the report.

12 One of the things we're finding is that nobody
13 really has a data -- I guess a classification system that
14 would be applicable to our purposes. In fact in some
15 cases people are using the DOT, like Canada. So and I
16 think even New Zealand although I can't swear to that.
17 I'm not sure about that. But so I really don't have any
18 information yet about specifics.

19 CHAIR BARROS-BAILEY: Tom.

20 MEMBER HARDY: I just have kind of a general
21 question. One of the comments I've heard is that it
22 seems for the past six months lots been being done by the
23 work group, but people haven't seen any product yet. And
24 I understand the reasons why.

25 But can you give an idea of when we're going to

1 start seeing things coming out of the work group for us
2 to review and discuss?

3 MEMBER KARMAN: Well, I'm hopeful that we will
4 have -- well, first of all since we have -- it's a series
5 of contracts, certainly at least one for which we will be
6 asking a contractor to conduct and help us facilitate
7 claims review as well as a focus group. Certainly we
8 will need to have the prototype person side instrument
9 ready for that at that point. So I would imagine we'll
10 need to be having something ready by the end of the
11 summer. At least on the person side we should be showing
12 something concrete at least in paper by that point.

13 CHAIR BARROS-BAILEY: Any other questions?

14 Thank you, Sylvia.

15 MEMBER KARMAN: Thank you.

16 CHAIR BARROS-BAILEY: Did you all get copies of
17 my PowerPoint? It's only three slides, so it's not very
18 expensive. Let me go ahead and put it up for the people
19 in the audience. (Pause)

20 Okay, and in your folders right in front of Tab
21 3, you have the Panel 2010, Panel Roadmap by function.
22 You'll recognize this. We've seen it in January. We saw
23 it in March. And so this is what we're using to update
24 listings, evolve, and we go through the process.

25 Just wanted to -- oops, that's not mine. Okay,

1 hold on a second. (Pause)

2 Okay. Now the PowerPoint is up there and we'll
3 get to Slide No. 1. And on January 19th, 2010, the
4 Commissioner provided us with a letter that we're all
5 familiar with. There were four things that the
6 Commissioner asked us to do within that letter --
7 actually five.

8 One is an annual meeting, so we typically -- or
9 annual report. So we typically don't include that when
10 we have these kinds of slides.

11 But in terms of deliverables was a
12 recommendation by the OIDAP to SSA for plans to help them
13 with plans for sampling and data collection. The other
14 one was for the field job analysts in terms of
15 recruitment, training, and certification. Then the
16 establishment of association or the linkages between the
17 human functions and requirements of work. And then the
18 other deliverable was advice from the OIDAP to SSA on any
19 reports that exist that may help SSA with their
20 development of the OIS. So that is what is driving the
21 Roadmap in many ways.

22 And from a functional standpoint the Roadmap
23 has six main areas that fall out of that. Some of the
24 areas we will also recognize as part of our seven general
25 recommendations back to SSA in September.

1 We start with communications. And most of this
2 information in terms of communications is processed or
3 dealt with through the User Needs and Relations
4 Subcommittee or the Administrative Subcommittee. So we
5 have the development of FAQ sheets. At this point we
6 have one FAQ sheet which is on the general recommendation
7 that is up on the website that has been used with
8 presentations to try to bring the information that we
9 have recommended back to SSA in terms of language that a
10 lot of the users can access.

11 Also we're getting a lot of not FAQ sheets, the
12 FAQs, frequently asked questions. And so potentially the
13 development of FAQ kind of information as it gets
14 developed. And again up on the website questions like,
15 Why not the DOT? We have the webinar already; why not --
16 that kind of thing. You know, the assumption that we as
17 a Panel are the ones developing the OIS instead of SSA.
18 Those kinds of basic facts, getting that information out
19 there.

20 One of the points of interest for the Panel on
21 the User Needs and Relations Subcommittee was expanding
22 the use of Federal Register notification process in terms
23 of feedback to our report. That's been done. It's in
24 the process of happening as we speak. And as I noted at
25 the beginning of the meeting, an important part of our

1 process to collect that feedback that we as a
2 Subcommittee and then as a Panel will be processing and
3 bring it back to the Panel.

4 Recommendations in terms of development of an
5 outreach plan. We have had some aspects of that plan
6 come together in terms of presentation, in terms of FAQ
7 sheets and the webinars and that type of thing. But this
8 is an area that is ongoing. It needs probably further
9 development, recognizing that this project and the reason
10 that we exist is more of a technical aspect of it.

11 Part of the recommendations we head back to SSA
12 was the engagement of the scientific and the research
13 community. And so how do we through that communication
14 process start engaging in this to meet some of the needs
15 that were identified in that first slide in terms of the
16 letter and ongoing R&D aspects of the project. So an
17 area of further development there.

18 Again we have a web presence. There's a lot of
19 things we can do with the web and they're kind of tied to
20 the previous recommendation or point of development of a
21 outreach plan. How do we make it work and make it work
22 better and get the information out there and use that as
23 a method for collecting information?

24 Recommending plans for disseminating
25 information. That's been ongoing and I'll get into a lot

1 of detail as I read Nancy's report. That was pretty
2 comprehensive in some of the efforts with User Needs.

3 The electronic presence in terms of electronic
4 media. I think Sylvia indicated that the staff has been
5 working internally to try to effect some of those
6 processes and expanding those and making those more
7 effective. The process of collection and review of
8 public comment is ongoing, but it isn't just collection
9 of the information. It's also how to consolidate that
10 information. These are the art work which is basically
11 back to the recommendations that were made and how to
12 bring that back and make it an effective and informative
13 part of the process. And again that is anticipated to
14 close formally.

15 But again I always say we always take feedback
16 on the 30th. And hopefully we will have the information
17 back to SSA on that and then the annual report, as I
18 mentioned earlier. So communications is ongoing. We
19 have been very active in that regard to keep this process
20 as transparent as possible.

21 In terms of the contents model, I think
22 Sylvia's presentation was indicative of where that is.
23 We've provided the recommendations. It is now at SSA in
24 terms of the content model development and in terms of
25 that iterative process that's happening. And so that's

1 kind of outlined here how the OIS design study is
2 involved within in terms of the anticipated time line in
3 some of those areas. So I'm not going to develop each of
4 those or talk about each of those in detail cause I think
5 those have been covered quite well.

6 Into the next area which is the instrument
7 development and testing. Again I think Sylvia through
8 her review -- and I also don't want to take away from
9 Mark's presentation later -- will address some of these
10 areas in terms of what is expected in terms of the
11 prototypes, the focus groups, work analysis instrument,
12 that type of thing.

13 And then in terms of the roundtables, there is
14 something a bit different about this document as opposed
15 to the other two that we've seen before. We recognize
16 that a variety of roundtables need to happen and that
17 those need to be kind of orchestrated along with the R&D
18 effort to end. So the development of a more concrete
19 plan in terms of the roundtables as a separate line item
20 instead of spread like we had them before.

21 Job analysis and sampling plans which is Page 4
22 of the Panel Roadmap. Again research taxonomy group's
23 working on the SSA. And a lot of these areas have
24 already been covered about the occupational and medical
25 vocational claims information study, the roundtables, and

1 the OIS Design Study I.

2 And then in terms of other, looking at plans or
3 identified plans for potential methods of data
4 collection, including field job analysts, we're going to
5 get a report from that in terms of where that is in terms
6 of the development of that process from the Ad Hoc
7 Subcommittee. And that covers both the person side, job
8 side.

9 And then the last that's under Other is the
10 review of the National Academies of Science reports.
11 That is in draft copy. The Executive Subcommittee
12 reviewed it today over lunch. There will be some
13 modifications to it and we will review that tomorrow as a
14 Panel as well.

15 So that's where we are in terms of our Roadmap.
16 It's anticipated as we go through this process that
17 things will change and emerge and hopefully be kind of a
18 back and forth process.

19 Any questions? Tom, you look like you have a
20 question for me.

21 MEMBER HARDY: I just have one. I'm trying to
22 remember. I probably missed its linkages. Where is that
23 in our Roadmap?

24 CHAIR BARROS-BAILEY: Where is that where?

25 MEMBER HARDY: In the Roadmap? Where are we

1 addressing that --

2 CHAIR BARROS-BAILEY: We have it there. Hold
3 on.

4 MEMBER KARMAN: It's under an example of some
5 of the roundtable the Panel may be looking to do. Again
6 it's an example largely because I don't think we're at a
7 stage -- at least I don't think we're ready to take it
8 on.

9 MEMBER HARDY: Is it one of our four for the
10 year? And I'm thinking, well, we should probably get
11 something in our minds about it somewhere, I guess. I
12 recognize it's still very early, but I just want to make
13 sure that we keep it somewhere.

14 MEMBER KARMAN: I don't know that it was
15 literally referenced as a bullet point.

16 Mary, am I wrong on that?

17 CHAIR BARROS-BAILEY: You're talking about
18 Bullet Point No. 3. Let me back up. Establishment of
19 associations linkages between human function and the
20 requirements of work that serve the disability evaluation
21 process.

22 And this isn't for fiscal year 2010. This is
23 areas that will need to be addressed. So it isn't like
24 by September we need to have this done because this is a
25 really heavy carry there. It's a really big part of this

1 project. So this is kind of long-term.

2 MEMBER KARMAN: And that's why what I meant was
3 it's not on your deliverables.

4 CHAIR BARROS-BAILEY: It's deliverable at some
5 point, not necessarily by September.

6 MEMBER KARMAN: Mary, I had a -- I think it may
7 be a point of clarification for me anyway. Page 2 of 5
8 under the Content Model, review OIS study design or
9 design study, which is true. But it may be confusing to
10 some folks because it's under Content Model.

11 And I know, you know, you and I worked on this
12 together, so I was probably part and parcel the reason
13 why this ended up on this list. But now that I'm looking
14 at it, I'm not sure if maybe we should consider -- it's
15 more of an editorial comment actually, so...

16 CHAIR BARROS-BAILEY: Yes, I understand. Okay.

17 Any questions? David?

18 MEMBER SCHRETLEN: Yeah, one question that I
19 had is since the public comment period formally is coming
20 to a close shortly and you'll be starting to compare
21 responses, if public comments concern certain
22 subcommittee, will you be contacting us about that?
23 Cause I know that occasionally I've seen things
24 circulated out in e-mail. But frankly I haven't looked
25 at the preview of that.

1 CHAIR BARROS-BAILEY: I think that's an
2 anticipation that if it directly effects one of the
3 subcommittees, mental cause, physical demands, taxonomy,
4 that it would go through those content subcommittees to
5 review.

6 Any other questions?

7 Well, we are -- actually we've caught up on
8 time which is a great thing to do. Before we break, I'm
9 going to go ahead and move maybe User Needs and Relations
10 report up a little bit.

11 And we all have before us the draft of the
12 Subcommittee report that Nancy put together before she
13 left the Panel. So I thank Nancy for doing this. And I
14 think probably the best way for me to do this is to go
15 section by section and also to read it. I think that
16 will be helpful.

17 And it was submitted by Nancy actually the day
18 after she resigned, so on June 2nd. And she summarizes
19 the teleconferences that the Subcommittee had.

20 And let me -- before I go on, does everybody
21 have a copy?

22 MEMBER SCHRETLEN: No, I don't see one here.

23 CHAIR BARROS-BAILEY: Okay, it's being
24 delivered. It looks like this.

25 MEMBER SCHRETLEN: Yes.

1 CHAIR BARROS-BAILEY: Okay. And it outlines
2 the teleconferences. I think there might in there -- I
3 think there was an assumption that there was going to be
4 one on June 2nd. I don't think that was actually held.

5 Is that correct? Okay

6 So between December 1st, 2009 and May 19th,
7 there were a variety -- I haven't counted them up, but
8 eight or nine teleconferences for the User Needs and
9 Relations Subcommittee. And the goals identified for the
10 Subcommittee at that point were to utilize existing lines
11 of communication about the Panel's work with Users and
12 with the public and to develop and open additional lines.
13 This was a key component of the Panel's determination to
14 work in a transparent fashion.

15 For outgoing communication the Subcommittee
16 wanted information about the Panel and the Panel's work
17 readily available to users and to the public. To date
18 the Panel has accomplished a great deal of outreach
19 through numerous items posed in the OIDAP website. And
20 the website is given ssa.gov/oidap. The Federal Register
21 and then person conference presentations, further plans
22 are detailed below. Second for incoming communications
23 the Subcommittee is working to receive and post comments
24 from users. Further plans are detailed below.

25 And in terms of presentations -- and I want to

1 echo what Sylvia said -- thank yous to everybody who has
2 been out and about to many places in the country
3 presenting. We've been very busy. And I think it's been
4 an important part of the process. And we've learned a
5 lot from that process itself. But a number of Panel
6 members have traveled to conferences and meetings of
7 organizations to give presentations about the Panel and
8 its work.

9 Attached is a list -- and I don't know if Debra
10 has that list -- of speakers and conferences as well as
11 the feedback reports that have been received by the
12 speakers. So we developed a kind of speaker feedback
13 form that helps us understand the audience and what kinds
14 of questions we're getting to make sure that we're
15 addressing those.

16 Also attached is upcoming confirmed
17 presentation for conferences and meetings. So this is
18 still ongoing. There are still other presentations that
19 we've been asked to do through September of 2011.

20 The Subcommittee recommends that the Panel
21 develop a protocol for incoming invitations or
22 expressions of interest for Panel speakers. National
23 meetings should get an in-person speaker if that is
24 possible. Other meetings such as state or local chapters
25 of national groups might be well served with a web event

1 or webinar presentation. And so I think the thought
2 there was about there's a lot of time involved with this
3 and whether the effective use of our time overall. We
4 recommend that the Panel be surveyed of the task if they
5 can identify any upcoming meetings and conferences where
6 we should seek a speaking invitation.

7 Webinars were recorded on May 4th. The topics
8 presented were the OID project and OIDAP general overall
9 and Sylvia and I did that webinar. The OID
10 recommendations and FAQ Sheet No. 1; Shanan did that
11 webinar. I did a webinar of Why Not the DOT? And Mark
12 did a variety of kind of sound bite webinars A Word about
13 a Word, things like content model, that kind of thing
14 that are a lot of times new terms for a lot of the users.
15 We anticipate that these webinars will be in final form
16 shortly and posted on the OIDAP website.

17 We believe that an explanation of terms would
18 help users better understand the contents of our
19 presentations. Shanan will use the closed caption
20 scripts to create another webinar to be entitled
21 Glossary. The subcommittee recommends that additional
22 webinars be created as occasions arise. It will be
23 useful to watch for any comments that are submitted which
24 provides feedback on the webinars.

25 In terms of the FAQ sheets, Shanan drafted the

1 first FAQ sheet, a resource that will be a valuable
2 introduction to the Panel's work for users. The
3 Subcommittee makes a recommendation that each
4 subcommittee prepare its own FAQ sheet. All FAQ sheets
5 should be posted on the website. They would be useful
6 handout to accompany presentations.

7 In terms of the website the recommendation is
8 that the location for the OIDAP documents that makes it a
9 perfect location for along with webinars and FAQ sheets
10 visitors to the website can see instructions for
11 submitting comments. The Subcommittee recommends that
12 the website be the Internet home for the Panel, which
13 links to other sites. These would include
14 regulations.gov, where comments are posted.

15 And then the final comments on the reports.
16 The comments period has been extended through June 30th.
17 Notification was sent to everyone who submitted a
18 comment, requesting that if they want their documents
19 shared, that they post it to regulations.gov websites.

20 In terms of outreach activities we utilize an
21 e-mail sign-up list, the OIDAP website, the Federal
22 Register Announcement with telephone calls to most of
23 those listening to the OIDAP meetings. The script for
24 the letter is attached.

25 After much discussion with the agency, we see

1 that the comments are now being posted on the
2 regulations.gov website. Due to the privacy concerns it
3 has been necessary to re-contact all those who had
4 previously submitted comments to ask permission to post
5 their comments. Debra and the staff have prepared an
6 excellent synopsis of the contents of the comments. A
7 copy of the current synopsis is attached, so we could get
8 copies to you. We understand that comments submitted to
9 the regulations.gov website will appear online within a
10 few days of their submission.

11 The Subcommittee recommends that the Panel
12 members be surveyed as to whether they received -- want
13 to receive the synopsis on a regular basis and/or the
14 comments themselves that relate to their area of
15 expertise.

16 So thank you, Nancy, for putting together that
17 report.

18 At this point I have not appointed a
19 replacement for Nancy in terms of User Needs and
20 Relations Subcommittee.

21 I think I noted that in terms of the Roadmap
22 there are a couple of areas of development within that
23 group in terms of a outreach plan, kind of a broader plan
24 that's anchored to the project. And as that evolves, I
25 anticipate that somebody will be appointed to replace the

1 User Needs and Relations Chair.

2 So I wanted to invite the members of the User
3 Needs and Relations Subcommittee if there's anything else
4 in terms of your thoughts that have occurred,
5 particularly since the last meeting that you would like
6 to add. Okay.

7 And the rest of the Panel if there are any
8 questions?

9 MEMBER SCHRETLEN: At the end Nancy suggested
10 surveying Panel members about their interest in receiving
11 stuff. I'd be interested in receiving synopsis of
12 comments that relate to the Subcommittee Mental
13 Cognitive, but not necessarily the others.

14 CHAIR BARROS-BAILEY: Okay.

15 MEMBER GIBSON: The model that the staff has
16 utilized for summarizing comments thus far actually is
17 wonderfully done with multiple linkages or references
18 back to each subcommittee to which they belong and also
19 to the recommendations. So that should be viable. But
20 it took us quite a while to actually review what they had
21 used as their initial framework for summarizing comments.
22 My understanding was we were all in agreement it was a
23 very good framework and we were going to maintain it.
24 But I can't actually tell you at what point we were in
25 terms of summarizing in terms of comments that come in.

1 So we might actually be behind on that.

2 CHAIR BARROS-BAILEY: Okay. Allan.

3 MR. HUNT: Just quickly. When do you expect
4 that synopsis to be distributed?

5 CHAIR BARROS-BAILEY: Well, the public comment
6 period closes on the 30th. At this point we haven't
7 received them yet, those comments. But maybe people are
8 waiting until the very end. So I anticipate there might
9 be quite a bit coming in and so it might take a little
10 while to be able to process that. So sometime in the
11 summer and before probably our next meeting at the end of
12 August, beginning of September.

13 MEMBER GIBSON: I would actually probably add
14 that we have received many comments which were not
15 necessarily related to our recommendations or actual
16 Panel activities too which have to be sifted through.

17 CHAIR BARROS-BAILEY: Any other questions?

18 Okay, let's go ahead and take a break, a 15-
19 minute break. Come back at about 2:20, a little after
20 2:20. Thank you.

21 (Off the record at 2:06 p.m. and back on the
22 record at 2:22 p.m.)

23 CHAIR BARROS-BAILEY: Welcome back, everyone.

24 We'll go ahead and resume our meeting and our agenda.

25 And I would like to pass it on to Mark Wilson in terms of

1 the Research Subcommittee's report.

2 MEMBER WILSON: Thanks, Mary. I will be real
3 brief here, because as both Mary and Sylvia indicated,
4 they touched on a lot of the activities where the
5 Research Subcommittee has been involved. So I'll be very
6 brief and then answer any questions anyone has if there's
7 areas you need more detail.

8 Basically the Research Committee has been
9 involved in three areas of activity providing advice and
10 recommendations with regard to sort of research planning,
11 methodology issues. And through teleconferences and some
12 face-to-face meetings, the likely product there is some
13 suggested research models, big picture sorts of things,
14 or what are the fundamental research questions here along
15 with the suggestions about sequence.

16 What would be the appropriate -- if you have to
17 stage the research as you usually do, what would be the
18 appropriate sequence in doing that that would be
19 efficient getting where you need to go from a research
20 standpoint?

21 The second area is roundtables and professional
22 development. We discussed a number of activities there
23 as they relate to the research aspects. There are other
24 roundtable and professional developments that don't have
25 to do with research. And it looks like through Allan our

1 next effort in that area is going to be around job
2 sampling issues and things of that sort.

3 The final area is providing advice and feedback
4 and review of specific documents that are research
5 oriented that are presented to the Research Subcommittee.
6 Two activities there, one that's -- both of which have
7 been mentioned a lot. The OIS Study I and that NAS
8 report, which has just been released. And in both cases
9 our role is to provide comments and feedback which we
10 have done.

11 And in terms of a specific deliverable there,
12 we're currently working on a kind of research proposal
13 framework as an example of how one might broaden the
14 scientifically oriented research proposal that would
15 communicate the basic facts of the research in a
16 relatively small but at the same time straightforward
17 document.

18 And that's pretty much what we've been doing.

19 Any questions?

20 CHAIR BARROS-BAILEY: No questions? Okay.

21 We're moving right along through the agenda.
22 I'm going to go ahead -- and I don't know. I think we
23 moved through that a little faster than we anticipated,
24 Debra. I don't know if your PowerPoint is even up yet.
25 Let me -- a couple more minutes on that.

1 Mark.

2 MEMBER WILSON: I would like to do one thing
3 here. Welcome Shanan Gibson to our committee. She's
4 just recently joined us. So we appreciate her help and
5 insight.

6 CHAIR BARROS-BAILEY: Thank you. Thanks for
7 the reminder on that. One of the things that has
8 happened in this process is the recognition that a lot of
9 activities of the Research Subcommittee would overlap
10 with that of the Taxonomy Subcommittee. So those have
11 been merged. And also Sylvia's no longer on the Research
12 Subcommittee. So those are the two changes to that
13 subcommittee.

14 Maybe we could just start very briefly maybe
15 talking overall what are the goals of the Ad Hoc
16 Subcommittee. And as your PowerPoint gets on the screen,
17 then we can go through that in detail.

18 Thank you, Debra.

19 MEMBER LECHNER: The Ad Hoc Committee consisted
20 of myself and Shanan Gwaltney Gibson and Robert Fraser.
21 And our purpose was just to identify issues for Social
22 Security Administration that they should consider as they
23 recruit and train job analysts. And so we identified a
24 variety of categories of considerations and some of those
25 being what professional disciplines and backgrounds would

1 be appropriate for job analysts, what kinds of things
2 should SSA consider in terms of geographic distribution
3 of these analysts in terms of where they're located to do
4 their work.

5 We also talked about different recruitment
6 methods. How would we go about --- or how would SSA go
7 about recruiting job analysts. And then once they were
8 recruited, what should be -- what should the training
9 include. And then realizing that there are not only
10 different training content but there is different venues,
11 different ways to train these folks. And so what would
12 be some of the cost considerations of the different types
13 of training venues?

14 And then I think everybody felt that
15 certification was certainly a very important part of this
16 process. So we talked about what would we require for
17 certification, how that could be done. So there's some
18 thoughts about that.

19 And then once folks were recruited and trained,
20 we went a little bit beyond and talked a little bit about
21 operations and management of this force of analysts that
22 are out there. Once they are on board, how do you manage
23 them?

24 And then made some comments about employer
25 participation because we felt like that was relevant to

1 this whole area of job analysis and then data management.

2 So those few bullets or last few areas were a
3 little bit beyond the scope of what we were asked to do
4 initially. But we thought that these were areas that
5 were going to have an impact on this whole job analysis
6 process.

7 On Page 2 we talk about professional
8 disciplines and background in more detail. And I put
9 together a list that, I guess, for the folks that are
10 listening, I'll read out loud. But vocational
11 evaluators, physical and occupational therapists and
12 their licensed or certified assistants, human resource
13 professionals, ergonomists, occupational health nurses
14 and occupational health physicians, safety professionals,
15 industrial and neuro-psychologists, exercise
16 physiologists, athletic trainers, case managers, and
17 insurance adjusters. And I probably left someone off the
18 list that we would -- so we are certainly open to other
19 disciplines that we may have overlooked. So open for
20 feedback on that.

21 And this is not to say that these are the folks
22 that we think should -- or the only folks that we think
23 should be certified. But just historically as we thought
24 about folks that have been involved in job analysis,
25 historically these are some of the professionals that are

1 out there currently doing job analysis.

2 And then one of things that occurred to us as
3 we -- and I think it's been evident in some of our
4 discussions here that each of these disciplines looks at
5 job analysis a little differently and approaches it a
6 little differently. And so whereas physical and
7 occupational therapists tend to focus more on physical
8 demands of work, a neuro-psychologist might emphasize the
9 cognitive or psycho-motor demands a little bit more. If
10 you're a safety professional, you might focus a little
11 bit more on the contextual or environmental demands. And
12 ergonomists tend to focus on the fix, how to fix physical
13 demands that are excessive.

14 And so what SSA will need, I believe, is
15 someone -- or we believe. As a committee we thought that
16 we're going to need trained analysts who can do some
17 crossover in areas that are not totally -- might not
18 totally be their comfort zone cause you're going to need
19 physical demands analyzed and cognitive demands. And so
20 the analysts will have to be trained in areas that are
21 not in their primary field of interest or background.

22 And then geographic distribution we felt would
23 really be dictated a lot by the SSA's demands in that,
24 for example, there may be certain pilot studies that
25 would be done in certain areas of the country so that

1 some of the initial research may dictate where these
2 folks need to be geographically located. And I think, as
3 the research progresses and as the process develops, the
4 geographic distribution will be dictated a lot by that.

5 In terms of recruitment methods we felt a
6 variety of methods were available and the things that we
7 noted were exhibiting at professionals conferences,
8 presenting at professional conferences. So once the
9 process is fully developed or more clearly developed,
10 sharing that with professional organizations in terms of
11 presentations would probably be something that would be
12 of interest to the professional organizations that are
13 involved.

14 And then -- just advance the slide here.

15 And then we talked about providing notification
16 in professional newsletters, doing mass e-mails to
17 professional lists of professional organizations,
18 publication on professional list serves. And then direct
19 mail to members of professional associations or their
20 license boards, if there are license boards for the
21 different types of professionals.

22 And then there are different costs associated
23 with these, each of these methods. Obviously electronic
24 methods are going to be probably more cost effective.
25 And SSA may want to pilot test a few strategies to see

1 which methods work best, which methods are more effective
2 in getting results.

3 And then the training content, we felt that
4 obviously there needs to be an understanding of the
5 operational definitions that are being used and have a
6 description of the job analysis process included in the
7 training. And so if there are particular interview
8 techniques or if a videotaping is used or observations or
9 force and distance measures to have a systematic process
10 by which those things are done so that it ensures
11 reliability and validity. And that needs to be carefully
12 explained in the training process.

13 And then how to -- teaching the trainees how to
14 classify the data once they've collected it and then how
15 to use the associated software. And our committee sort
16 of operated with the assumption that there would be
17 associated software that job analysts would use.

18 Then the next thing we considered as, okay,
19 what could be the possible venues for training.
20 Certainly live or fact-to-face training is probably the
21 most traditional type of training. Written home study is
22 another option. Teleconference or audio training is
23 another. And then there is synchronous and asynchronous
24 web based training, with synchronous being more like the
25 virtual classroom where there's a live instructor online

1 with students. Whereas asynchronous being more the stand
2 alone course that someone could go in and take the course
3 at -- and have it be sort of paced at their -- I won't
4 say their leisure cause we all felt that we liked the
5 flexibility of asynchronous training. But there had to
6 be some parameters put on it so that the learning
7 occurred over a set period of time with the test taken
8 within a certain period of time and those kinds of
9 things.

10 And then we also felt that training could occur
11 in any combination. So you could have -- you could
12 combine live and asynchronous web based training. Or you
13 could combine some written home study work with
14 synchronous web based training. So there's just a
15 variety of combinations that could be put together
16 depending on what you found or what was found in some of
17 the pilot studies.

18 And we played around a little bit with, okay,
19 what are some of the issues? Scheduling, flexibility
20 being one. Real-time interaction with a live instructor
21 being another thing. And ease of updating the course.

22 And this table just sort of gives you an idea
23 where if you're looking at home study or asynchronous web
24 based training because there's no set schedule that
25 offers more flexibility for training. Whereas if you are

1 looking at a live course or synchronous web based
2 training or an audio conference, you have real-time. The
3 students or the trainees have real-time online with the
4 trainer. So you've got more -- certainly in face-to-face
5 or live trainings you have that face-to-face interaction.
6 But with audio conferencing and synchronized web based
7 conferencing, you've got at least the audio real-time
8 feedback.

9 And then in terms of ease of update the home
10 study asynchronous, having a little bit more of an
11 advantage because you don't -- you can update the content
12 without having so much to update the trainers. In other
13 words, with live training with teleconference audio or
14 synchronized web based, you've got to update your
15 materials and you have to train your trainers in the new
16 update.

17 So we had a lot of debate about, okay, what's
18 going to be the most cost effective approach. And some
19 cost considerations in subsequent slides. But just
20 trying to balance the learning effectiveness versus the
21 cost effectiveness.

22 And we felt like that there could be a lot
23 learned from pilot studies, initial training sessions,
24 and feedback from attendees as well as the grades and
25 scores on the practical and written exams.

1 The cost considerations that we identified were
2 things like developing videotapes for training and
3 certification. One of the things that would be required
4 almost in any mode of the training because you would want
5 students to be able to see and view jobs being performed
6 and practice the analysis technique so that video tapes
7 would be probably utilized, we thought, in almost all the
8 different types of training.

9 And then using -- in a previous study that was
10 done with the Department of Labor, we used -- our video
11 tapes were also used for the certification, the practical
12 exam part of the certification process. And then most
13 courses are going to have manual handouts, certain
14 PowerPoint presentations. Paper forms may be used in
15 addition to the software just depending on the whole
16 process. And then tests would have to be developed.

17 Other cost considerations would include
18 honorarium and/or salary for live trainers or mentors.
19 We discussed the concept of, even if there weren't live
20 teaching sessions, we might want to have some live
21 mentoring sessions that could occur either audio or web
22 based or live.

23 If there are live sessions, there are going to
24 be travel costs for trainers. And then server costs for
25 hosting and training and collect -- hosting, training,

1 and the collected data. Development of a stand-alone web
2 based course is going to have certain costs to it that
3 the others might not.

4 And then we got into a significant discussion
5 about open source versus proprietary learning management
6 systems. And there's just -- that's probably a topic for
7 a whole other Ad Hoc Committee to look at, what's
8 available, what's out there.

9 I did a little bit of online poking around in
10 terms of comparisons of element systems, learning
11 management systems, and I've done -- the next slide kind
12 of shares some of that information with you. But that's
13 just -- the links that I have on the next slide are just
14 the tip of the iceberg. There's just an overwhelming
15 amount of information out there and an overwhelming
16 number of different learning management systems out
17 there.

18 And then there's -- of course the other costs
19 is the associated software development. And that's not
20 really within the scope of the training, per se. But in
21 some of my past experience the training is very closely
22 linked to the software development because you are
23 training the attendees or the trainees in how to use the
24 software.

25 And then grading tests, you've got to decide

1 how those are going to be graded, how many re-tests
2 you're going to allow for people who fail the first time,
3 and then how you're going to distribute and award
4 certificates, and using both pen and paper tests as well
5 as practical exams.

6 And here's some of the online resources that I
7 stumbled across last night as I was doing a little
8 looking about, you know, so what is out there on the
9 Internet comparing these learning management systems.
10 And I just threw a couple on a slide. The third one down
11 gives a good explanation of the different features that
12 someone should consider as they are selecting learning
13 management systems since this is a new area.

14 Also something that I personally am not
15 familiar with is does -- I mean I know that SSA does a
16 lot of training, internal training, already. Do you
17 already have a learning management system in process? Is
18 that something that could be -- is there something in
19 other areas of the SSA that could be utilized for this
20 process? And I don't know that yet.

21 Another website, the fourth one down there,
22 described a lot of the major issues to consider when
23 selecting a learning management system. And then the
24 final one talked about, it was a MIT report that did a
25 very in-depth comparison of learning management systems,

1 but it's a bit dated. It was done in 2006 and there's
2 just tons more technology out there today. But they
3 stated that the initial part of that report is that it's
4 periodically updated. So SSA may want to contact MIT to
5 see when they have their next planned update of this
6 comparison report.

7 MEMBER SCHRETLEN: I have a question.

8 MEMBER LECHNER: Yeah.

9 MEMBER SCHRETLEN: What exactly is a learning
10 management system? What does that involve?

11 MEMBER GIBSON: Within university systems we
12 have learning management software which is what we run
13 our distance education classes over. It's where the
14 syllabus is, the PowerPoint presentations are, where the
15 students go in and take the tests. It's a website which
16 has all these different sections which essentially
17 replace the classroom. So Moodle, Sakai, WebCT,
18 Blackboard, those are probably your four big ones that
19 are either by fee or free.

20 MEMBER SCHRETLEN: So you upload the content,
21 but the program or the software provides the sort of
22 infrastructure for presenting and then testing --

23 MEMBER GIBSON: You can put in deadlines. You
24 can put in dates. You determine what is displayed, what
25 is not.

1 MEMBER SCHRETLEN: I see.

2 MEMBER GIBSON: I can show you one when we're
3 done if you'd like to see one.

4 MEMBER LECHNER: And there are probably at a
5 minimum 90 different learning management systems. I was
6 stunned when I went out to look last night. That's quite
7 a lot of them. Quite a lot of options out there, some of
8 them free, some of them not.

9 And then the certification process identifying
10 generalized work activities and the frequency and
11 duration with which those activities are performed,
12 observing, video taping, quantifying, classifying the
13 physical demands and the cognitive and environmental, the
14 behavioral demands of the job. So the certification
15 eventually needs to touch on -- for the testing that's
16 required for the certification needs to touch on all
17 those areas, both in a written and a practical exam sort
18 of approach. It also needs to address does that trainees
19 know how to competently how to use the software.

20 And then there's this whole issue of periodic
21 recertification. I think the legal, medical community is
22 very tuned in to recertification. And I think a lot of
23 times on depositions in trials and so forth, the
24 evaluators have to testify as to how frequently they're
25 recertified.

1 In terms of operations and management once you
2 have this group of analysts that are trained, it's
3 scheduling and prepping those individuals to go into
4 specific employer sites. Once they've done their
5 analysis process, created a report, there's the whole
6 process of retrieving and archiving the data and
7 performing some type of quality review. Because I think
8 even if you train and certify analysts, you periodically
9 need to check the quality of their work, either
10 systematically or on a random basis.

11 And then our thoughts on employer participation
12 are that the whole process of doing job analysis does
13 affect an employer's productivity. So and employers are
14 increasingly sensitive about their trade secrets. So
15 those two issues are going to create -- I think will
16 create some significant barriers to folks wanting -- or
17 employers being willing to participate.

18 As well as there's the issue of safety. So in
19 particular in some industrial environments the employers
20 are very cautious about who they allow to come in and
21 escorting folks so that no injuries occur to the analysts
22 while they're on site. And Unions of course can be very
23 sensitive about job analysis.

24 And then there is various types of insurance
25 that become important so that analysts that go on site

1 have to be insured from a workers -- certainly from a
2 workers compensation perspective. As well as when this
3 is done in the private sector, the company providing the
4 job analysis has to show that they've got general
5 liability insurance as well. And errors and omission
6 insurance and so on and so forth.

7 So I think, you know, our thoughts as a
8 committee were that SSA is going to have to put some
9 thought into some marketing strategies, if you will, to
10 entice employers, perhaps create some incentive. We
11 talked a little bit about the whole concept of the
12 possibility of maybe if employers could have some sort of
13 benchmarking, some access to benchmarking information
14 that is anonymous. That might make it an attractive
15 perspective, or an attractive proposition, for them to
16 participate because most companies are into benchmarking
17 and continuous improvement. And if they could see how
18 their jobs compare from physical, cognitive demands
19 standpoint to others in their industry, that might be
20 some sort of incentive.

21 And then our other thoughts in terms of data
22 management, there is always an issue with job analysis
23 when an external agency comes in or an external entity
24 comes in. Who's going to own the data once it's
25 collected? Who's going to have access to that data once

1 it's collected? How confidential is it going to be? How
2 will the database be developed and maintained and
3 protected?

4 And then we've already talked about the -- I've
5 already talked about the idea about the confidential
6 benchmarking as a possibility and just the importance of
7 having a really clear idea of purpose and use of the data
8 before the database is developed so that once it's
9 developed it's going to be easy to get the data out of
10 it.

11 So those were our preliminary thoughts and
12 ideas. And we open it up for discussion, question?

13 CHAIR BARROS-BAILEY: Gunnar and then Mark.

14 MEMBER ANDERSON: I thought that was a very
15 thorough and comprehensive review. I have a couple of
16 questions.

17 One is how hard do you think it is to learn how
18 to do this? And what type of background do you
19 anticipate that they will have? Do you think you're
20 limited to the groups that you were talking about? Or do
21 you think that this is something that someone with
22 reasonable intelligence could actually learn fairly
23 easily? Cause what I see here is a job that is a
24 temporary job. It won't exist eternally because I don't
25 think the plan is to extend the collection of this data

1 over decades. So that's one question.

2 The second question is whether you think you
3 need to have the same people collecting data as analyzing
4 the data. I don't see that as an absolute necessity, but
5 I don't know what your thoughts on this are.

6 MEMBER LECHNER: In terms of how hard it is or
7 how long it takes to train individuals, I can only speak
8 from the experience that I have and that's training folks
9 primarily in analyzing the physical component of the job.
10 And the two training courses that we've developed take --
11 both of them take about eight to 10 hours for someone to
12 go through the course completely and do the practical and
13 written exams.

14 And you know, we've experimented some with the
15 different levels of professionals. I think everything
16 from a safety professional. Most of the folks that have
17 historically been involved in this area have had some
18 sort of college degree and ranging from a BS degree all
19 the way up to an M.D., Ph.D. level people. So --

20 MEMBER ANDERSON: But there are so many
21 unemployed people now that that was my thought that if
22 you broaden it you might have an easier time recruiting
23 people.

24 MEMBER LECHNER: Right. And then about
25 collecting data versus analyzing data, certainly a lot --

1 in the process that we have used, a lot of the data
2 analysis gets done by computer algorithm. So I would say
3 that you don't have to have the same person do -- I mean
4 you could have someone input the -- go out and collect
5 data, input it, and have -- develop some computer
6 algorithms in terms of the analysis perspective and then
7 have a good quality review. And you might be able to use
8 less highly trained folks.

9 I think the key is that the folks who are
10 taking the training be able to understand the nuances of
11 the operational definition. And so you have to write
12 them specifically for certain training and background and
13 use appropriate terminology.

14 So that if you were trying to train a physical
15 therapist or an occupational therapist and you wanted
16 them to be able to rate an arm movement, you could use
17 terms like flex and extension. But if you were trying to
18 train a safety professional, you might ask them to say,
19 okay, well, when the person raises their arm -- their
20 hand up to their shoulder height that's what this is for.
21 So you have to change your terminology and make sure you
22 understand and are training to the level of audience with
23 the least background if those are the folks that you're
24 deciding to use.

25 MR. HUNT: Just a follow-on, I guess, to

1 Gunnar's question. I was -- I guess the word was shocked
2 -- at that first list of professional disciplines and
3 backgrounds. Athletic trainers? Can you expand on that
4 to give me some --

5 MEMBER LECHNER: There are -- athletic trainers
6 end up in settings in the clinic that, for example,
7 clinics that focus on industrial rehabilitation or
8 rehabilitation of the injured worker. So there are sub-
9 groups and I think a smaller section of athletic
10 trainers. It's certainly not every athletic trainer out
11 there because most of them specialize in sports medicine
12 and are on the field during sports games. But some of
13 them interestingly enough have sort of migrated over into
14 industrial rehabilitation and work in physical therapy
15 clinics as sort of as ancillary assistants. And they get
16 involved in job analysis in that way.

17 MEMBER ANDERSON: In some states they're
18 actually allowed to independently charge for physical
19 therapy.

20 MEMBER GIBSON: I would say these are not
21 necessarily -- this is not necessarily a list of people
22 we were saying should do this. We were trying to
23 identify a list of people who might be interested in
24 pursuing training as a means of augmenting what their
25 other professional status is which we had identified as

1 being in line with this model.

2 MEMBER LECHNER: And I think Gunnar is right to
3 -- you know, we want -- as long as you can expand the
4 opportunity as widely as possible because a lot of the
5 folks on this list, depending on what happens in health
6 care, what the trend is for physical and occupational
7 therapists. They are so -- at least I can speak from
8 that because that's what I know. They are so busy with
9 their traditional type of work which is rehab that it's
10 very hard to recruit those individuals to be interested
11 in this on a wide scale. So that's -- and because of
12 that, athletic trainers and exercise physiologists have
13 kind of migrated and filled that role in a lot cases.

14 MEMBER ANDERSON: I was just trying to make
15 sure that the net is widely cast because I think that's
16 the best way of getting recruits. And there is a lot of
17 people out there that I could think of. There's a lot of
18 unemployed engineers. There's a lot of unemployed
19 teachers. There's a bunch of nurses who are unemployed.
20 And there's no reason why they couldn't learn how to do
21 this. In fact, I'm convinced they can.

22 CHAIR BARROS-BAILEY: Having chaired a
23 credentialing organization and being intimately involved
24 with this process in terms of certification, I think one
25 of the main questions I needed to have answered is what

1 is the scope of practice for the field job analysts. And
2 in that will come from the instrument that will dictate
3 what is the information that is going to be collected.
4 And from answering that question then you can dictate or
5 look at expectations in terms of domain levels and the
6 domain levels that could be tested to.

7 But that seems to be the first question that
8 we're all talking around is what is the scope of
9 practice. And that's -- I'm going to introduce a term
10 he's never heard of, but that's done by something called
11 the job analysis. It's also called the role or function
12 studies. So that's the third kind of job analysis that
13 we usually hear about out there in the field that's
14 different that what you and I do or what the second kind
15 of job analysis that we have around that table.

16 It sounds like we're talking about scope of
17 practice and identifying the scope of practice and what
18 goes toward the meeting of the scope of practice. And
19 usually from the development as well as domains and sub-
20 domains, the exams will come out of that and so will the
21 training.

22 And so, for example, if you're a certified
23 rehab counselor, you could go to the Commission on Rehab
24 Counselor Certification and look at the 12 domain levels
25 that come from their scope and practice, the job analysis

1 that happens every five years. You can go to the council
2 on the rehab education and look up 12 domain areas that
3 all the core credited programs have to teach toward so
4 that the training is in line with the credentialing. So
5 I think that's -- we're kind of talking around that.

6 Go ahead, Tom.

7 MEMBER HARDY: I thought this was a wonderful
8 report. I really enjoyed reading it. And I was going to
9 direct you guys to -- for some ancillary stuff. I found
10 this, a August 27, 2007 report to Social Security on use
11 of functional vocational expertise and for a totally
12 different purpose. But in the appendices what they did
13 was they were looking to cast a wide net to get
14 professionals to assist in doing their recommendation.
15 What they did was they came up with basic professional
16 education levels and then subsequent preparation for all
17 the areas that we're talking about. And even went out
18 and got estimates of how many people were available in
19 each discipline, in each area, to do work for Social
20 Security.

21 And I would suggest you guys take a look at
22 this because some of this research is already done. And
23 they even talked about going out and trying to find
24 social workers in other allied fields to do some of this
25 work as well.

1 CHAIR BARROS-BAILEY: Abigail.

2 MEMBER PANTER: I think as long as there's a
3 good description of the analyst characteristics then
4 we'll be in good shape. Just because at some point it
5 has to all be described as who, what, who is that core of
6 individuals who are -- and it has to be a good
7 description of it.

8 MEMBER LECHNER: Yeah, and I think it's
9 probably another one of those areas where there's a
10 careful balance because I can see the practical
11 advantages of casting a wide net as Gunnar has suggested.
12 But we also have to maintain the -- you know, it's not
13 necessarily the label that gives you the competency or
14 the credentials that give you the competency. But
15 there's a perception of competency. And so it's
16 important that, I think, the Social Security
17 Administration maintain a certain perception of
18 competency by using individuals that or professions that
19 would be respected.

20 CHAIR BARROS-BAILEY: Mark.

21 MEMBER WILSON: Very useful report. And it's
22 obvious that you've given them a lot of information to
23 consider which I think is very valuable. First of all, I
24 wanted to make one -- make sure I understood something
25 about the slide that's up there now that kind of relates

1 back to one of Gunnar's questions. You're talking about
2 data management here. Is this data that comes out of the
3 training exercise? Is that what you mean by data
4 management?

5 MEMBER LECHNER: It could be that, but what I
6 had primarily in mind was the management of the data
7 that's collected by the job analysts that will, you know.

8 MEMBER WILSON: If that was the case, then I'd
9 be careful here in terms of what it would be in terms of
10 reporting or analysis or whatever simply from the
11 standpoint that we're going to allegedly be making the
12 argument that their role in this process is to be an
13 unbiased observer. They don't necessarily know how the
14 information is going to ultimately be used and things of
15 that sort. So unless we had an extended discussion here,
16 I'd probably come down on the side of they shouldn't be
17 involved in this issue of data.

18 MEMBER LECHNER: I'm not quite sure I understand
19 what you're saying, Mark.

20 MEMBER WILSON: That in terms of training
21 analysts, this whole issue would be something I wouldn't
22 deal with with them. Their job is to, as others have
23 said, to learn how to use the instrument that's developed
24 and provide accurate and consistent stuff. But to not
25 get into these kinds of issues unless -- and it could be

1 I'm missing something.

2 MEMBER LECHNER: No, this was intended not --
3 it's something that the trainees should learn. This was
4 intended as a separate issue that SSA will have to deal
5 with once the data is collected.

6 MEMBER WILSON: Oh, okay. So this is not A
7 relevant guide. All right, so it's just --

8 MEMBER LECHNER: Yes. Like I said when I
9 introduced the topics that we covered that there were a
10 couple of things that sort of percolated up to our minds
11 in terms of considerations that are sort of this step
12 beyond. Okay, once you have the analysts trained, how
13 are you going to manage them and how are you going to
14 manage the data?

15 MEMBER WILSON: Right, okay. Now I -- thank
16 you. In terms of comments I had two. Again like I said
17 and others have said, I very much appreciate this. And I
18 hope that your committee will also look at two additional
19 things that you sort of tangentially touched on a couple
20 of times. But I think, especially with regard to
21 training, need to be directly addressed in terms making
22 recommendations. And the first one is around the
23 fidelity of the training. And the second one would be
24 around any training evaluation metrics that you think
25 would be appropriate.

1 I think both of those would be very useful in
2 terms of what level of fidelity you would want to carry
3 out this training. And you touched on that at a couple
4 of points. But it would be nice to make some specific
5 statements in that area.

6 And then the same thing with regard to training
7 evaluation metrics. How do we know if this training is
8 successful, not at the individual level in terms of an
9 assessment but at the level of the evaluation of the
10 training program. I think both of those would be areas
11 which you have the expertise obviously and it would be
12 useful to provide some suggestions.

13 MEMBER LECHNER: I think one of the ways we've
14 looked at that in the past has just been to look at the
15 interrater reliability of trainees. And, yeah, I think
16 one could also look at the test, re-test reliability.

17 Is that the kinds of things you're talking
18 about when you're trying to figure out is the training
19 successful?

20 MEMBER WILSON: Those could be examples. And
21 if you look at the various training evaluation models,
22 there's, you know, Kirkpatrick and others who sort of
23 outline basic kinds of training. But all I was
24 suggesting is that since you're looking at these issues,
25 it would be useful for you to provide any recommendations

1 you think are appropriate with regard to how do you
2 evaluate this training program, up to and including
3 outcome sorts of things. Do we get better product from
4 using untrained analysts?

5 Reliability becomes a trick issue in terms of
6 looking at analysts' performance because the issue is
7 unless -- and actually the Research Committee responded
8 to some of these issues which is interesting to bring
9 out. If they watch exactly the same incumbent in the
10 same circumstance, then one would expect them to be in
11 very high agreement. But if you send two different
12 analysts out to two different organizations to look at
13 the same title, one explanation of that might be that our
14 analysts are being unreliable. But another could be that
15 two people with the same title are doing different
16 things.

17 But, yeah, those would be examples of metrics
18 and the kinds of things that you might want to --

19 MEMBER LECHNER: What are you referring to when
20 you say fidelity cause that could mean a lot of different
21 things to different people?

22 MEMBER WILSON: Yeah, fidelity in the training
23 literature generally refers to the extent to which it's
24 realistic. You know, high fidelity training environment
25 would be one, you know, flight simulation where you're in

1 a simulator where it's actually moving as you know.

2 Low fidelity is the -- fidelity also has two
3 components. There's physical fidelity, you know, the
4 flight mechanism. If you turn, then whatever sort of
5 thing you're in -- if you've seen some of these flight
6 simulators, they're actually more expensive than the
7 plane because they have all these computer controlled
8 hydraulics and things that move about. And that's some
9 of what's important here.

10 But I think also the sort of psychological
11 fidelity. Do you feel threatened or do you feel under
12 pressure? Or whatever the environment is where the work
13 is going to be performed. The idea is that the training
14 environment and the actual performance environment are as
15 close as possible physically and psychologically. That
16 has potential implications for how things are done and
17 what learning management systems are used and things like
18 that. And I say this all -- I'm scheduled to go to
19 several Moodle workshops over the next couple of months.
20 So I'm particularly aware of learning management system
21 issues.

22 MEMBER LECHNER: Yeah, and I think this is
23 another one of those areas where SSA may need to balance
24 cost effectiveness versus effectiveness of the training.
25 Just because we've done -- I think that there is no

1 question that if we're doing an online, any kind of
2 online, or remote training where trainees are not
3 physically in the workplace, there's -- you don't get any
4 of those -- a lot of those fidelity issues are
5 sacrificed. But to do live training and have people out
6 there physically in industry carries another whole host
7 of costs and challenges that go with it. I've had the
8 experience of doing both and that one's a challenge.

9 CHAIR BARROS-BAILEY: Bob and then Sylvia and
10 then Tom.

11 MEMBER FRASER: In the case of our work here it
12 can get a little trickier in terms of training cause we
13 also have these cognitive and behavioral criteria. Then
14 there's also the entree, the access point to business.
15 And do they finesse that? Have we taken care of that,
16 you know, how that works? Cause that's going to increase
17 the training time and probably the sophistication of
18 personnel that you seek cause you want them to be able to
19 hit the ground running and have some appreciation of
20 cognitive and emotional and personal kinds of issues.

21 Second thing I think we touched on in our last
22 phone conversation was we're probably going to need some
23 type of resource site to help these people out when they
24 get-- well, I had difficulties in business -- who are
25 dealing with some criteria or assessing a certain type of

1 job. And that could be several personnel available cause
2 we have several hundred of these people around
3 nationally. There's going to be issues so that --

4 MEMBER LECHNER: And that's something that
5 we've certainly found that you've got to have like a help
6 desk that's available to people as they go in to answer
7 questions because there are definitely going to be those.

8 MEMBER WILSON: One instance ironically in a
9 lot of contexts using computers and all this mediated
10 instruction and things would be lower fidelity in most
11 work settings. But here it might actually be to our
12 advantage because it very well could be the case that
13 when they're actually providing the data and whatever
14 that's all going to be computer mediated too.

15 MEMBER LECHNER: Yeah, you just don't get the
16 heat of the battle experiences, you know, dodging the
17 bullets in the field.

18 CHAIR BARROS-BAILEY: Sylvia.

19 MEMBER KARMAN: Thanks, Deborah and Bob and
20 Shanan, for pulling this together. I thought I would
21 just give a little bit of connection, connective tissue
22 perhaps, to some of what I covered in the Director's
23 report with regard to the job analyst business process
24 we're looking to develop over the next few months --
25 well, next nine months.

1 And this, the Ad Hoc Subcommittee's work, and
2 I'm mentioning this mainly because that may be a question
3 in the mind of some of the Panel members and perhaps some
4 of the people who are calling in and listening that we
5 did put in a request for information out in the public
6 with regard to an upcoming RP wherein we would be looking
7 for an entity to come in and help us develop a business
8 process. So I think all of these issues that you guys
9 are covering are going to be really good starting point
10 for Social Security to be providing direction guidance to
11 the contractor.

12 So I say that as by way of explanation for
13 those who were hearing what I had said before and then
14 now hearing what is going on with the Ad Hoc Subcommittee
15 to see how those connect.

16 And I'm glad to hear about the scope of work
17 issue -- scope of work, listen to me -- the scope of
18 practice issue because I think to some extent, some of
19 these questions or the points that have been brought up
20 might well be addressed by not only having the work
21 analysis instrument at least mapped out but also having a
22 sense of then, given that, what kind of expertise are we
23 looking for.

24 And I recognize when you all had that list at
25 the beginning that it was just a suggested list of here's

1 some, you know, types of professions or backgrounds that
2 are typically do job analyses and might well be places
3 where Social Security could look for individuals. But
4 also getting at what Gunnar was saying in terms of is it
5 possible then, given scope of practice, it might be
6 established for job analysis for our purposes, that we
7 would be able to bring on board individuals who are just
8 simply capable of learning how to do this, given the
9 protocol and training that we have. So I think that will
10 be -- this will be a really good start.

11 So I just thought I would provide that
12 clarification in case that was at issue for anybody.

13 CHAIR BARROS-BAILEY: Thanks. It might also
14 help to find if there are various levels within that
15 scope for different --

16 MEMBER KARMAN: Which would get at a variety of
17 different trainings -- modules, for example. And you
18 might want to have one module of training. And I'm not
19 saying that the Ad Hoc Subcommittee has to literally
20 develop any of that or come to those -- get to the point
21 of getting through exactly what levels of training would
22 be needed and all that because of course the contractors
23 from developing the business processing. That sort of
24 thing would be in a position to get to that level of
25 detail.

1 But just for the Panel to be thinking about the
2 fact that it's possible then, given the scope of
3 practice, that we may have -- several levels of training
4 might be needed because people who already have
5 certification in another area might in fact be able to
6 learn how to do our job analysis more quickly than
7 somebody who has never done it, that kind of thing.

8 I don't know if that's what you meant, Mary,
9 but...

10 CHAIR BARROS-BAILEY: Yes, I think that will
11 help identify those areas in terms of training coming in
12 or levels of experience coming in and what's needed in
13 terms of the different levels.

14 So, Tom, Mark, Abigail.

15 MEMBER HARDY: I keep going back to a legal
16 defensibility argument. And if I'm rationally looking at
17 areas where we're going to be vulnerable, Step 1 is going
18 to be our data collection. And so I would kind of urge
19 to be more restrictive in looking at who is able to do
20 this for us as opposed to being a more wider net.

21 And I'm not saying that a college degree is not
22 a wonderful thing, but saying a person with a college
23 degree may be proficient enough to evaluate a person's
24 negotiating skills and some of the esoteric cognitive
25 behavioral things we're talking about. Keeping this into

1 a more narrow range where we've got people with some
2 experience, credentials, possibly higher education may be
3 a better way to go for a gold standard, which I think all
4 the work we're doing should always be held to.

5 MEMBER LECHNER: I agree that we're going to
6 have to be really careful about that. And I think one of
7 the challenges that this whole area of cognitive
8 functioning is going to create -- and I'm certainly
9 speaking from one with -- from a perspective of someone
10 who does not do that part of it on a regular. But I can
11 look, see, observe physical functions. It's a little bit
12 more difficult with cognitive functions. So that may
13 take on a whole realm of qualifications that some of the
14 folks on this list could fall out as legitimate
15 evaluators of certain types of functions.

16 MEMBER KARMAN: You know, actually that's why I
17 was glad this scope of practice issue came up because I
18 think as soon as we -- not as soon as -- but when we have
19 a prototype work analysis instrument and we have that
20 there in front of us as a guide for what skill set, what
21 training, what certification would be needed in order to
22 be sure that you have somebody who's using that
23 instrument appropriately, gathering the data
24 appropriately, that would get at, I think, a fair amount
25 of, well, is it reasonable to expand on this, you know,

1 to cast a wider net, for example.

2 Because when I think what Tom was mentioning
3 with regard to cognitive and mental cognitive issues, I'm
4 assuming that based on the instrument we'll be looking at
5 tasks that -- or activities that are done in the job
6 which are associated with certain mental cognitive
7 abilities. Not that we're literally -- that the job
8 analysts would literally be going out and assessing that
9 person. So I don't know. I mean I think that having the
10 work analysis instrument is probably going to be big.

11 MR. ANERSON: Tom, I think that in order to
12 satisfy what you're talking about, you're going to have
13 to evaluate the quality of the product, not the
14 qualification of the person who's doing it. Because
15 that's irrelevant if the quality of the product is not
16 good.

17 CHAIR BARROS-BAILEY: Abigail, go ahead. And
18 then Mark. Sorry.

19 MEMBER PANTER: I don't think it's totally
20 irrelevant, because when we look at other systems that
21 exist, one issue is who are the analysts and who -- in
22 all of this who -- how were they sampled. So it comes
23 back in the end of who is this group. So I think that
24 whatever decisions are made, if it's broader or narrower,
25 that it's a very -- it needs to be a very deliberative

1 process to figure out what is the sampling of the analyst
2 group and who exactly are they to figure out -- go deeply
3 to figure out who are they and what are their years of
4 experience and how did they do on the certification first
5 time, second time, so on.

6 MEMBER LECHNER: I think it's interesting just
7 in the years of our doing training when we go back and
8 look at who does well on training and who scores the
9 highest on certification exams and who isn't able to pass
10 the exams. You know, I get a lot of questions, well, can
11 somebody that's just out school a year or just a new
12 graduate can they do this. And so we -- and I also get
13 questions because there's a lot of rivalry between
14 physical and occupational therapists about who can do
15 this the best.

16 And when we went back and looked at some of
17 that data over the years, what you think makes a good
18 analyst isn't always what makes a good analyst or a good
19 evaluator. And one of the things that we noticed,
20 because our process is software driven and it's very
21 analytical, the age of the therapist was a higher
22 predictor. The older therapists were the ones that were
23 more likely to fail the test and not achieve
24 certification. So you can't -- sometimes the assumptions
25 that you think would play out, don't really play out.

1 MEMBER GIBSON: I think the one thing we have
2 to keep in mind, as Sylvia kind of talked to this, is
3 ultimately once there is a tool and there is an intended
4 process, a business process, the same things that drive
5 good education will drive good training. And that is on
6 one hand to identify what are the types of knowledge that
7 are being sought here. If we want declarative knowledge,
8 we want procedural knowledge, we want contextual
9 knowledge. And not use a bunch of pedagogy terms, but
10 that's how we look at it because that drives the nature of
11 how you train people and how you teach them.

12 And then we do need to have that really good
13 idea of the learners' backgrounds because the backgrounds
14 of the learners dictate how you address these training
15 issues. And so a lot of that will probably be handled by
16 a private outside group. But until we have this, we
17 really can't -- a lot of this is hypothetical.

18 MEMBER KARMAN: Yeah.

19 MEMBER GIBSON: But those things are always
20 going to be constants: what is the knowledge they
21 obtained and what are their backgrounds coming in so that
22 the training is developed accordingly.

23 CHAIR BARROS-BAILEY: Mark has been sitting on
24 his hands for the last few minutes, so I'll have Mark.
25 And then Tom has also been sitting on his hands. So Mark

1 and Tom.

2 MEMBER WILSON: Thank you. In listening to the
3 discussion, I thought of a couple of things. And I think
4 as usual Tom's question is very important and there are a
5 number of facets. One of the reasons that I talked about
6 the fidelity and evaluation issues, I think get at this
7 in terms of, if the source is going to be impeached,
8 their general qualifications would not seem to be as
9 relevant as their specific qualifications relative to the
10 training. And so that's why I brought that up.

11 But that being said, what Tom and others said,
12 I think, is important here in terms of there's a concept
13 in training called positive and negative transfer. And
14 if you're used to doing job analysis, which we know now
15 means different things with different techniques and
16 different approaches, there might actually be some
17 negative transfer there. It may be more difficult for
18 people who are used to a particular approach to learn
19 something that's slightly different.

20 On the other hand, there's sort of a face
21 validity component to this that if, especially to the
22 extent that you're making any kind of professional
23 inference here which hopefully would be kept at a
24 minimum, the having prior training and expertise that's
25 relevant to whatever the task is, especially as you

1 mentioned in some of these more abstract cognitive
2 interpersonal domains that so much prior experience might
3 be very relevant or at least needs to be considered.

4 And I'm glad we're having this discussion on
5 the record cause I think it's very important to ascertain
6 who the trainees are, how they're going to be trained,
7 evaluated. How the training process might be perceived
8 by the legal community to me is a very important
9 question.

10 CHAIR BARROS-BAILEY: Tom.

11 MEMBER HARDY: Well, I approach a disagreement
12 with Gunnar with fear and trepidation, right off the bat.
13 But I wanted to say that I recognize what Sylvia is
14 saying and it's my favorite word -- and, Debra Tidwell,
15 I'm using it. It is iterative. And as we start seeing
16 what this product looks like, we're going to be able to
17 say, well, you know what? That's kind of goofy. You
18 don't need to worry about this and this may all be
19 nothing we have to worry about.

20 But going back and keeping again in mind that
21 there is a cost involved with everything that we're
22 talking about doing, I still have to say that you can
23 have Tom, who's got his BA in English lit, go out and do
24 a job analysis or you can have Tom, who's got his Masters
25 degree in counseling who's a CRC, NCC, and has 10 years

1 of experience, go out and do some data collection. Tom
2 with his BA is going to cost a little bit less than Tom
3 with his Masters. Howsoever, Tom with his J.D. coming in
4 and saying, you know what, there's a cost benefit
5 analysis that we have to make. And I recognize that.

6 And it may be that as we go along we find out
7 that, yes, Tom with his BA can do that just fine. But I
8 would also say let's step back and keep in mind that at
9 some point Tom with his J.D. is going to come along and
10 take a look at how you did it. And that's something that
11 we should keep in mind. And again assert that the higher
12 credentials might be in our best interests down the line.
13 That's all.

14 CHAIR BARROS-BAILEY: Dave.

15 MEMBER SCHRETLEN: Yeah, I think, you know, the
16 scientist in me agrees with Gunnar that -- and in fact
17 there are a lot of data on this. I've seen studies of it
18 in the distant past; I've haven't seen anything recently.
19 But also I've got a lot of direct experience with it
20 that, when you train physicians and Ph.D. level people to
21 do rating scales, they're usually less effective and they
22 do have less reliably and less validly than people with
23 fewer years of training. It's sort of a remarkable
24 phenomenon.

25 I've always interpreted it as that physicians

1 and, say, doctoral level people think they know it all.
2 They don't need to -- in a sense they override the rating
3 criteria and they interpose their own judgment to the
4 detriment of the instrument.

5 But on the other hand, the non-scientist side
6 of me agrees with Tom. And that is that this whole
7 project is under an intense level of scrutiny and the
8 appearance matters. And I think the appearance in some
9 ways in a courtroom will win out over scientific
10 integrity at the end of the day. And so I think we need
11 to pay close, close attention to the appearance of the --
12 and ideally what you want are highly qualified people who
13 are also well trained and competent. But I agree with
14 Allan. When I saw athletic trainer, I thought, uh-oh,
15 that's just not going to play well looking back.

16 MEMBER LECHNER: And they are -- I guess I
17 should have said certified athletic trainers, they are
18 ATCs. So it's not like you have a fitness trainer out
19 there doing it. But a number of those professionals have
20 migrated in the direction of this whole concept of the
21 industrial athlete and so they --

22 MEMBER SCHRETLEN: And just to make sure it's
23 crystal clear, I'm not saying I don't think they can do a
24 fine job. In fact as I said sort of following up on my
25 earlier comments, they might be able to do a better job.

1 But I guess the question is really how does it look.

2 CHAIR BARROS-BAILEY: Gunnar.

3 MEMBER ANDERSON: Well, I think it's time to
4 stop this discussion. But if you want the form filled
5 out well, don't ask a doctor. (Laughter and background
6 comments.)

7 Along the other line I think if we can find the
8 highest qualified people and we can find them in
9 sufficient numbers to do this, by all means that's what
10 we should do. My fear is that we're going to have a hard
11 time finding people. And that's why I wanted to make
12 sure that, if we have that hard time, we're willing to
13 broaden the search.

14 MEMBER KARMAN: You know also I think what I
15 was trying to get at earlier was that the results of the
16 certification process, the training and certification,
17 those results should also speak volumes to what process
18 we intend to have in place to ensure that the data are
19 collected in a quality manner. And whatever skill sets
20 individuals need to have in order to even start, that's
21 something to be determined yet.

22 But I absolutely am hearing -- and I think
23 getting at what Tom was saying earlier, I'm certainly not
24 saying that we shouldn't worry about it if it turns out
25 that, gee, we look at the instrument and it seems pretty

1 straightforward. I think we should worry about it even
2 if it the instrument is straightforward. It doesn't
3 matter. I mean I think you have to worry about it. But
4 that doesn't mean that whatever we're discussing here has
5 to happen in one direction or other. It's just a matter
6 of until we have that together. But we should be
7 concerned about it. So I agree.

8 MEMBER HARDY: And as far as people available,
9 I'm going back to the study I found. I don't know how
10 reliable their numbers are, but they were estimating
11 15,000 occupational therapists being available in the
12 country out of the 90,000 they see as certified. And
13 30,000 physical therapists out of the 150,000. And
14 131,000 voc rehab counselors. And it's like I have no
15 idea of what this is. It's something that just came
16 across, but --

17 MEMBER LECHNER: I think those numbers are way
18 optimistic.

19 MEMBER HARDY: Okay.

20 MEMBER LECHNER: For example, there is a sub-
21 section of the American Physical Therapy Association
22 that's devoted to therapists who are interested in work
23 related issues, industrial rehabilitation. And I think
24 if we're lucky we have 500 to 600 members.

25 CHAIR BARROS-BAILEY: And I can speak to the

1 rehab counseling, the 131,000. That's highly aggregated
2 data. That data includes para-professionals. That
3 includes job coaches. That number is not accurate in
4 terms of rehab counselors. They have, I think, 1,100 in
5 Idaho. And I tell you there aren't 1,100 in Idaho.
6 There may be about 150. And so you have to really look
7 at that data and see what those numbers mean.

8 MEMBER HARDY: There's 40 in Montana.

9 CHAIR BARROS-BAILEY: Forty in Montana. You've
10 met them all?

11 MEMBER HARDY: I met them all.

12 CHAIR BARROS-BAILEY: Okay. (Laughter) A
13 couple of things that kind of come to me as I'm listening
14 to this is there are other national databases that people
15 entering information into that database require
16 certification to maintain the quality of that database.
17 So I'm thinking of like the oncology database. And so
18 there might be through medical informatics other sources
19 like that, other models out there that could already be
20 explored where data quality of that database is
21 incredibly important and there's already a certification
22 process.

23 So what are the methods that have been
24 considered and are ongoing for the -- not just the
25 initial training but also the ongoing training and

1 certification to maintain that database quality because I
2 think we all think about this, being on the front of it,
3 as the initial data collection. But if it is going to be
4 an organic process, it's kind of an ongoing process. And
5 so looking at other existing systems such as that.

6 There were a couple of people who wanted to say
7 something. Allan, were you one?

8 MR. HUNT: Just a remark as your Labor
9 Economist that, if you can get this going within the next
10 two years, you're not going to have that big a problem
11 finding people so we're still going to be above nine
12 percent unemployment.

13 MEMBER SCHRETLEN: And how many people are we
14 talking about?

15 (Inaudible background comment.)

16 No, no, no, I mean how many people do we want
17 to hire. We don't even have a ballpark.

18 MEMBER GIBSON: Until we have a sampling model
19 for how much data that has to be collected, it's really
20 hard to figure we're going to -- how many analysts we
21 need and geographically how they have to be dispersed.
22 So I think that's actually part of that bigger sampling
23 question.

24 MEMBER SCHRETLEN: So we don't know whether
25 it's actually closer to 20 or 2,000.

1 MEMBER GIBSON: It's probably not 20.

2 CHAIR BARROS-BAILEY: Or 2,000.

3 MEMBER LECHNER: And I think that kind of goes
4 back to a lot of the points in the report where as a
5 committee we were intentionally vague about some things
6 because we don't have the results of the -- we don't know
7 the process yet. We don't know the sampling plan. So we
8 left some things intentionally vague because of that.

9 CHAIR BARROS-BAILEY: Bob.

10 MEMBER FRASER: Just one thing that by the
11 trips down the pool is people willing to leave an office
12 site, like PTs, OTs, speech -- I can't get anybody to
13 leave the hospital to go out to examine the situation.
14 So it tends to be someone in private practice with
15 flexibility and it trims the pool.

16 MEMBER LECHNER: Yes, I run into that same
17 issue. A lot of the health professionals are not
18 comfortable being in an industrial environment.

19 CHAIR BARROS-BAILEY: Okay. Are we done with
20 the questions, comments?

21 I want to thank the Ad Hoc group for their
22 tackling of this since I think we all agree that this is
23 a very important aspect of the process. It is where the
24 rubber meets the road in terms of data quality for the
25 kind of official information system we're looking at that

1 will -- meaning in terms of the match between function
2 and the world of work. So it becomes very, very
3 important in terms of the work you're doing and how SSA
4 feels about this process.

5 I'm going to ask Debra Tidwell-Peters whether
6 we have anybody signed up for public comment. We don't,
7 okay.

8 So we have some time set aside for today for
9 public comment. We don't have anybody signed up for
10 public comment.

11 Is there any other outstanding business on
12 today's agenda? Okay, hearing none, I'm going to remind
13 everybody that for tomorrow we start at 8:30 in the
14 morning. And we have a couple of things on the agenda in
15 terms of the review of the National Academy of Science's
16 report on deliberation and feedback on that and the
17 Administrative agenda.

18 So hearing none -- Tom, go ahead.

19 MEMBER HARDY: I make a motion that we adjourn
20 for the day.

21 CHAIR BARROS-BAILEY: Do I have a second?

22 MEMBER GIBSON: Yes.

23 CHAIR BARROS-BAILEY: Second by Shanana. All
24 those in favor. (Background ayes)

25 Okay, that was unanimous. We are adjourned for

1 the day. Thank you.

2 (Whereupon, this session was adjourned at 3:39
3 p.m.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

This is to certify that the attached transcript in
the matter of:

SOCIAL SECURITY ADMINISTRATION
OCCUPATIONAL INFORMATION DEVELOPMENT
ADVISORY PANEL QUARTERLY MEETING
MEMPHIS, TENNESSEE

JUNE 9, 2010

were held as herein appears, and that this is the
original transcription thereof for the file of the Office
of Complaint Investigations.

STEPHEN P. ANDERSON, Reporter
FREE STATE REPORTING, INC.